
NEW YORK STATE DEPARTMENT OF
HEALTH
EMERGENCY MEDICAL SERVICES FOR
CHILDREN
ADVISORY COMMITTEE

Sarah Macinski Sperry
Ruth Walden
Edward Wronski

OTHERS PRESENT:

Deborah Brown
Nancy Ginsberg
Susan Stred, M.D.
Gary Tuthill

Tuesday, March 17, 2009
11:00 a.m.
The Crowne Plaza
30 Lodge Street
Albany, New York

APPEARANCES:

Susan Brillhart
Lee Burns
Sharon Chiumento
Arthur Cooper, M.D.
Tim Czapranski
Louise Farrell
Brian Gallagher
Marjorie Geiger
Martha Gohlke
Jonathan Halpert, M.D.
Robert Kanter, M.D.
Kathleen Lillis, M.D.
Janice Rogers

ALEX Y ASSOCIATES
COURT REPORTING SERVICES, LLC
(518) 798-6109

2

3

1 DR. COOPER: Good morning,
2 everyone. I'd like to welcome
3 you to the first meeting of the
4 Emergency Medical Services for
5 Children advisory committee for
6 calendar year 2009. My name is
7 Art Cooper and at your request,
8 I have the honor of chairing
9 this group today. And we have a
10 rather full agenda, so I'll
11 begin by asking Martha if she
12 will call roll.
13 COURT REPORTER: I don't
14 think the microphones are
15 working.
16 MS. GOHLKE: Is this on?
17 There we go. Hello. Hello.
18 What I prefer to do is
19 maybe go around and let you guys
20 introduce yourself, if you
21 wouldn't mind, because actually
22 Dr. Amler was supposed to be
23 here and I thought it would be
24 helpful for him, but I guess he
25 hasn't arrived yet. So Lee, why
26 don't we start with you.
27 MS. BURNS: Lee Burns.
28 I'm with the EMS Bureau at the
29 state health department.
30 MS. GOHLKE: Martha

ALEX Y ASSOCIATES
COURT REPORTING SERVICES, LLC
(518) 798-6109

3

1 Gohlke, EMS coordinator.
2 MR. WRONSKI: Ed Wronski,
3 the director of the EMSC group.
4 DR. COOPER: Art Cooper,
5 pediatric surgeon on the
6 committee.
7 MS. FARRELL: Louise
8 Farrell, private practice
9 manager at the School of Public
10 Health.
11 DR. HALPERT: Jonathan
12 Halpert, New York ACEP.
13 DR. STRED: I'm Sue Stred.
14 I'm the associate professor of
15 pediatric endocrinology at
16 Upstate for about the last
17 nineteen years.
18 MS. WALDEN: I'm Ruth
19 Walden. I'm a family specialist
20 with the children with special
21 healthcare needs program.
22 MR. GALLAGHER: Brian
23 Gallagher with the School of
24 Public Health.

ALEX Y ASSOCIATES
COURT REPORTING SERVICES, LLC
(518) 798-6109

4

1 DR. KANTER: Bob Kanter,
2 pediatric critical care,
3 Syracuse.

4 MS. CHIUMENTO: Sharon
5 Chiumento. I'm a nurse and a
6 paramedic, as well as an EMS
7 provider.

8 MR. CZAPRANSKI: Tim
9 Czapranski, SEMSCO liaison.
10 Also a paramedic and EMS
11 coordinator for Monroe County.

12 MS. ROGERS: I'm Jan
13 Rogers. I'm a pediatric nurse
14 practitioner in the emergency
15 department at Strong in
16 Rochester.

17 MS. BRILLHART: Susan
18 Brillhart, pediatric critical
19 care nurse. I'm teaching for
20 the City University of New York.

21 MS. SPERRY: Sarah Sperry.
22 I'm a research scientist for the
23 bureau of injury prevention.

24 DR. COOPER: Great. I'd

ALEXI ASSOCIATES 5
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 like to welcome you all and hope
2 you had a pleasant holiday
3 season and that your new year's
4 off to a roaring start, as we
5 are well into it at this point.

6 MS. GOHLKE: You've got to
7 speak into the microphone.

8 DR. COOPER: So I hope
9 it's not merely a roaring start
10 but a roaring continuation.

11 The next item on the
12 agenda is approval of the
13 minutes. So if you could all
14 take a look at your minutes. I
15 don't believe they're in your
16 packet. I think you needed to
17 review them online before
18 coming. I trust that there were
19 no major problems upon review of
20 the minutes. I personally did
21 not find any.

22 DR. HALPERT: Motion to
23 accept the minutes.

24 DR. COOPER: Thank you,

ALEXI ASSOCIATES 6
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 Jon.

2 MS. CHIUMENTO: Second.

3 DR. COOPER: Thank you,
4 Sharon. Discussion? All in
5 favor.

6 SPEAKERS: Aye.

7 DR. COOPER: Opposed? It
8 carries unanimously. Okay. The
9 minutes are approved.

10 Martha, would you review
11 the agenda for us, please?

12 MS. GOHLKE: Sure. Here
13 it is. Okay. We have folks
14 here from the CARES Foundation
15 who are going to talk about
16 adrenal insufficiency. That's
17 the first thing that we'll do.
18 And then Mr. Wronski will give
19 his EMS report from the bureau.
20 I'll talk a little bit about the
21 EMSC grant and do my latest
22 presentation on the results of
23 the medical direction study that
24 I did -- the microphones aren't

ALEXI ASSOCIATES 7
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 working -- and medical direction
2 and pediatric equipment survey
3 that Brian helped me out with,
4 Brian from the School of Public
5 Health. We'll go through that.
6 We'll just have a quick update
7 on the progress of the different
8 committees.

9 And we will have a working
10 lunch today. They're going to
11 provide -- they're going to put
12 lunch here in the room here for
13 us. Just so you know, it's only
14 going to be up for a little over
15 a hour. So, you know, bring all
16 your food here. Don't expect to
17 nibble throughout the day,
18 because they will take it away.
19 So get everything and bring it
20 here. We will have to work
21 through lunch, so we'll take a
22 few minutes, you know, take a
23 break, but then we will work
24 through lunch so we can get out

ALEXI ASSOCIATES 8
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 on time. It's a beautiful day
2 outside today.

3 And then we'll go to --
4 we'll do the new business of the
5 committee, the old business, the
6 new business, and hopefully
7 we'll have time to get to the
8 updates from the sister
9 committees, if we can pack it
10 all in.

11 Ann Fitton couldn't be
12 here today with the festivities
13 of St. Patrick's Day down in the
14 City. She couldn't really
15 excuse herself. FDNY is needed
16 today. And who's the other
17 person? I know Elise, but there
18 is another person who couldn't
19 be here today. Rita Molloy.
20 Kathy Lillis is on her way.
21 She's flying in and her flight
22 gets in a little after ten. So
23 this is a longer commute,
24 unfortunately, from the airport

ALEX ASSOCIATES 9
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 so she's hopefully going to be
2 here any second. And Rita
3 Molloy, our nurse from Long
4 Island -- today is the
5 kindergarten screening day at
6 her school, so she also had to
7 excuse herself for today. She
8 couldn't make it up here.

9 And then Dr. Van der Jagt
10 e-mailed me yesterday. His
11 mother passed away unexpectedly
12 yesterday. And we send our
13 condolences to him on the
14 passing of his mother.

15 DR. COOPER: Absolutely.
16 Thank you, Martha. Okay. So as
17 you can see, we do have a fairly
18 full agenda.

19 So I'd like to begin by
20 introducing Deborah Brown from
21 the CARES Foundation and Sue
22 Stred from Upstate Medical
23 Center in Syracuse, who I
24 believe have a presentation for

ALEX ASSOCIATES 10
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 us about adrenal insufficiency
2 as it applies to emergency
3 medical services and the
4 potential need for us to
5 consider adding administration
6 of glucocorticoids for patients
7 with adrenal insufficiencies to
8 the prehospital treatment
9 protocols and formularies.

10 So Miss Brown, Dr. Stred,
11 either one, both?

12 MS. BROWN: We have a
13 parent here. Her name is Nancy
14 Ginsberg.

15 DR. COOPER: Okay. A
16 pleasure to have you with us.

17 MS. GOHLKE: Just a quick
18 refresher -- reminder. The
19 microphones aren't so much for
20 our listening pleasure, but it's
21 for Nora's assistance to make
22 sure she catches everything. So
23 just try to be cognizant of
24 speaking into the microphones.

ALEX ASSOCIATES 11
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 And you can pull them forward if
2 you need to. They do have long
3 cords.

4 MS. BROWN: The blue
5 folders on the table -- the blue
6 folders on the table have all of
7 our presentation materials.

8 Well, first I want to
9 thank you all for giving us the
10 opportunity to speak to you
11 today. Again, my name is Debbie
12 Brown and I'm the parent of a
13 two year old with congenital
14 adrenal hyperplasia, a member of
15 the board at the CARES
16 Foundation and a registered
17 nurse.

18 We are asking for this
19 committee's support and urging
20 the medical advisory board to
21 include injectable
22 glucocorticoid treatments of
23 individuals affected by adrenal
24 insufficiency in New York

ALEX ASSOCIATES 12
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 State's statewide emergency
 2 response protocol.
 3 What if I told you I had
 4 a miracle drug that could
 5 prevent shock, heart failure,
 6 cardiac arrest and help save the
 7 life of trauma victims during
 8 transport? Well, I do have that
 9 miracle drug and it's called
 10 Solu-Cortef.

11 Injectable glucocorticoids
 12 are not new. They've been
 13 around since first used by
 14 Addison's patient John F.
 15 Kennedy to save his life during
 16 back surgery in the 1950s. But
 17 among individuals affected with
 18 adrenal insufficiency, it is our
 19 miracle drug.

20 Upon injecting
 21 Solu-Cortef in an adult or a
 22 child in adrenal crisis,
 23 something miraculous does
 24 happen. The child that looks

ALEX ASSOCIATES 13
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 ashen and unresponsive suddenly
 2 begins to have color and talk.
 3 The mother who is vomiting and
 4 feels as though she might faint
 5 suddenly feels better. It's on
 6 now -- the teen with the bone
 7 fracture may be kept from shock
 8 and the patient in cardiac
 9 arrest begins to respond to
 10 intervention. Yes, this is our
 11 miracle drug.

12 And for a cost factor of
 13 \$4.65 a vial and a shelf life of
 14 four years, Solu-Cortef is not
 15 only lifesaving, but
 16 cost-effective, easy to
 17 administer and safe.

18 You must understand that
 19 when adrenal crisis comes on, it
 20 comes fast. I have seen this
 21 with my own daughter. She has
 22 gone from responsive and alert
 23 to barely responsive and
 24 blueish-gray in fifteen minutes.

ALEX ASSOCIATES 14
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 With no time to spare, just a
 2 half of milliliter of
 3 Solu-Cortef IM did the trick.
 4 Within minutes, Isabelle's color
 5 began to improve as well as her
 6 responsiveness. She was able to
 7 arrive at the hospital in a much
 8 more stable condition due to
 9 Solu-Cortef administration prior
 10 to arriving at the emergency
 11 room. Shock and cardiac arrest
 12 were averted as well as a
 13 hospital admission, and today
 14 I'm here to tell you that she is
 15 fine.

16 This is just my story,
 17 though. There are many others
 18 that have not been as fortunate.
 19 Others have endured long
 20 hospital stays, permanent
 21 disability or death due to lack
 22 of prompt treatment with
 23 Solu-Cortef.

24 You all, as the medical

ALEX ASSOCIATES 15
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 advisory -- you all as the
 2 Emergency Medical Services for
 3 Children can help change that.
 4 You have the ability to advocate
 5 for change of current protocols
 6 so that when someone is found
 7 unconscious due to adrenal
 8 crisis, EMS can save them. When
 9 EMS arrives at a scene with
 10 frantic parents and a child who
 11 is already blue from adrenal
 12 crisis, EMS can help.

13 I have been constantly
 14 reminded that where I live on
 15 Long Island, a hospital is only
 16 five minutes away. I agree, but
 17 five minutes may be five minutes
 18 too long when an adult or a
 19 child is severely ill from
 20 adrenal crisis. And as you are
 21 well aware, children and adults
 22 living in upstate New York have
 23 much longer response to
 24 transport times. At the time of

ALEX ASSOCIATES 16
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 adrenal crisis, there simply is
2 no time to waste.

3 We are not in
4 unchartered territory as far as
5 protocols go. Rhode Island has,
6 for several years, had a simple
7 protocol to treat adrenal
8 insufficiency. Here in New
9 York, we can too. And by the
10 way, this protocol would not
11 only assist 2,000 people in New
12 York who share the same
13 diagnosis as my daughter. This
14 is just the tip of the iceberg.
15 A protocol for adrenal
16 insufficiency would also help
17 patients who have had
18 adrenalectomies, Addison's
19 patients, and an even larger
20 number with pituitary disorders.
21 These conditions leave patients
22 at risk for adrenal crisis.
23 There are over 12,000 people
24 living in New York who are at

ALEXY ASSOCIATES 17
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 risk and would benefit from
2 protocol implementation.

3 My daughter wears a
4 medic-alert bracelet, something
5 a recent CARES Foundation survey
6 found that seventy-five percent
7 of our members do. This
8 measure, however, will do
9 nothing to save her life if she
10 becomes ill or injured and we,
11 her parents, are not there with
12 her or have become incapacitated
13 in the same accident. And
14 current EMS protocols in New
15 York simply do not address those
16 with adrenal insufficiencies.

17 Finally, I want to
18 mention we have a willing
19 community of endocrinologists
20 eager to provide training in
21 this, as well as policy
22 development. While I realize
23 there are costs associated with
24 change, looking at the whole

ALEXY ASSOCIATES 18
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 picture, I think it's fair to
2 say that one hospital admission
3 for an adrenal insufficient
4 patient who has developed shock,
5 heart failure or cardiac arrest
6 will likely exceed the cost of
7 implementing these changes.

8 On behalf of New York's
9 children and families, I urge
10 you to help keep the adrenal
11 insufficient safe by advocating
12 the inclusion of injectable
13 glucocorticoids in New York's
14 EMS prehospital and transfer
15 protocols statewide. The power
16 to save lives is in your hands.
17 I thank you for your time today.

18 DR. COOPER: Thank you,
19 Ms. Brown. Dr. Stred, did you
20 want to speak now?

21 DR. STRED: I don't have
22 much formal to add to that, but
23 from a medical perspective and
24 an ED perspective, this is one

ALEXY ASSOCIATES 19
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 of the safest medications on the
2 planet. It is a one-time
3 injection. You cannot hurt
4 anyone with an injectable
5 glucocorticoid, as long as you
6 administer it into a correct
7 site, either a muscle or a vein.
8 And I would be happy to stand in
9 front of this body or the main
10 SEMAC body and accept an
11 injection of an entire vial into
12 my arm and stand there and talk
13 to you, for \$4.00. For \$4.00.
14 You cannot possibly hurt anyone
15 with this and you can save their
16 lives.

17 In upstate New York where
18 we've got response times of
19 twenty minutes and transport
20 times of up to forty minutes,
21 that's an hour for someone who
22 isn't getting the specific
23 medication that could save their
24 lives that they really need.

ALEXY ASSOCIATES 20
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 IVs are wonderful; fluid
 2 resuscitation is invaluable.
 3 But if your body cannot make
 4 glucocorticoids and you need it,
 5 the only way for you to get it
 6 at a time of crisis is through
 7 an injection. It's safe. It's
 8 cheap. It cannot hurt anyone.
 9 And the peds/endo community
 10 would be happy to help out with
 11 instruction that would benefit
 12 even our adult endocrin
 13 community, who I would point out
 14 are a little less good about
 15 wearing their medic-alert tags.
 16 But we can work on that if we
 17 get a policy in place. It will
 18 add to the momentum of the
 19 snowball rolling of being much
 20 more comprehensive about wearing
 21 medic-alert tags. It's really
 22 pretty -- that we push our
 23 patients to wear medic-alert
 24 tags that ends up being of no

ALEXY ASSOCIATES 21
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 utility to them in the State of
 2 New York. Thank you.
 3 DR. COOPER: Miss
 4 Ginsberg, would you like to say
 5 a word or two?
 6 MS. GINSBERG: Hi. My
 7 name is Nancy Ginsberg. I am
 8 the parent of a three year old
 9 girl with congenital adrenal
 10 hyperplasia. CAH is a disease
 11 of the adrenal which is easily
 12 managed on a day-to-day basis
 13 with steroid supplements.
 14 However, physical trauma,
 15 excessive vomiting or diarrhea
 16 and fever are emergency,
 17 life-threatening situations for
 18 the adrenal insufficient. The
 19 key here is emergency. If any
 20 of these emergency situations
 21 occur, there is a very short
 22 window of time in which
 23 intervention for the adrenal
 24 insufficient can mean the

ALEXY ASSOCIATES 22
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 difference between life and
 2 death.
 3 My daughter's life and
 4 safety is my responsibility. My
 5 husband and I go to great
 6 lengths to insure her safety.
 7 Wherever we go, we carry an
 8 emergency medical kit which
 9 contains extra oral doses of
 10 Lilly's daily steroids, a letter
 11 from her doctor detailing
 12 emergency protocols and the fact
 13 that time in a waiting room is
 14 not appropriate, and a
 15 Solu-Cortef injectable and
 16 syringes. She wears a medical
 17 ID bracelet which states
 18 "adrenal insufficiency,
 19 hydrocortisone required." We
 20 know that we are fully prepared
 21 should an emergency situation
 22 arise.

23 Although there is no nurse
 24 at Lilly's preschool, her

ALEXY ASSOCIATES 23
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 teacher keeps a duplicate
 2 emergency kit on hand as well.
 3 With my instructions and
 4 materials, Lilly's teacher is as
 5 prepared as she can be to
 6 respond appropriately if needed.
 7 Although we as parents and
 8 caregivers take all these
 9 precautions, what happens when
 10 we fail? If I, her parent, am
 11 not close by, if something
 12 happens at school and Lilly's
 13 teacher is not available or able
 14 to provide Lilly with her
 15 injection, or what if one day
 16 she is out riding her bike or on
 17 a field trip. There will be
 18 times when she will be out in
 19 the community and regardless of
 20 the precautions I have taken,
 21 she will not be safe or able to
 22 be helped by an emergency
 23 response team that is not
 24 equipped with a life-saving

ALEXY ASSOCIATES 24
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 Solu-Cortef or equivalent
2 glucocorticoid.
3 There is a gap here which
4 you have the power to fill.
5 Allow my daughter and other
6 children the safety you can
7 provide by equipping your teams
8 with this low cost, easily
9 administered, completely safe,
10 life-saving vial.

11 I thank you so much for
12 your time today. Please help us
13 keep our children safe in the
14 community. Allow them and
15 others with adrenal
16 insufficiency the comfort to
17 function freely and safely in
18 our community by adding
19 glucocorticoids to New York's
20 EMS prehospital protocols.
21 Thank you.

22 DR. COOPER: Thank you.
23 Does -- Dr. Stred, do you have
24 any summarizing comments for the

ALEXI ASSOCIATES 25
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 group or pretty much --
2 DR. STRED: No.
3 DR. COOPER: Do any of our
4 members have any questions for
5 Ms. Brown, Ms. Ginsberg or Dr.
6 Stred?
7 DR. HALPERT: I'm just
8 curious about what the --
9 COURT REPORTER: I can't
10 hear you. I'm sorry.
11 DR. HALPERT: I'm just
12 curious about what the incidence
13 might be regarding presentation
14 of this population to the EMS
15 community?

16 DR. STRED: It's only
17 anecdotal data at the moment.
18 It's been a real challenge,
19 especially now with HIPAA, to
20 try to get those kind of data
21 and coding. If someone comes in
22 in shock, the discharge code may
23 well be shock and not adrenal
24 insufficiency. We need a more

ALEXI ASSOCIATES 26
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 robust reporting system to get
2 that.

3 But we estimate based on
4 published population-based
5 estimates, there are over 12,000
6 New Yorkers with either
7 pituitary adrenal insufficiency
8 or primary adrenal
9 insufficiency.

10 MS. GOHLKE: Is this
11 something they grow out of?

12 DR. STRED: No.

13 MS. GOHLKE: Okay.

14 DR. COOPER: Dr. Kanter.

15 DR. KANTER: My concern
16 would be that in a major adrenal
17 crisis, the issues are
18 life-threatening hypoglycemia
19 and hypobulemia, and giving
20 hydrocortisone may not preclude
21 the need for IV fluid
22 resuscitation and IV glucose.

23 And I think the bigger
24 issue is education about this

ALEXI ASSOCIATES 27
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 general disorder or set of
2 disorders and education about
3 the full spectrum of life-saving
4 measures.

5 If I had a patient in my
6 ICU with all of these problems,
7 my priorities in the first
8 fifteen minutes would be
9 glucose, fluids and I'd be happy
10 to give the hydrocortisone in
11 the next fifteen minutes when we
12 get to it. Fluids and glucose
13 are a far more urgent need.

14 DR. STRED: I have no
15 argument with that, but cardiac
16 motility cardiac function is
17 dramatically improved in adrenal
18 insufficiency with
19 glucocorticoid. So
20 administering the appropriate
21 fluid resuscitation is
22 incredibly important, but if you
23 can't get it moving around in
24 the bloodstream, it could with

ALEXI ASSOCIATES 28
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 one additional simple maneuver.
2 It would be a tremendous
3 advantage.

4 One injection lasts about
5 six hours, so by doing that
6 quick maneuver either at the
7 same time or in one sequence or
8 the other, buys you six hours of
9 resuscitation without having to
10 spend -- without having to think
11 about the glucocorticoid
12 injections.

13 DR. HALPERT: Many of our
14 prehospital providers in New
15 York State are very loose
16 regarding the use of injectable
17 glucocorticoids because of the
18 prevalence of Medrol. I'm not
19 sure statewide; it may be
20 greater. Ed is more familiar
21 with that. But I'm suspecting
22 it's pretty popular and well
23 embraced.

24 I think the real issue is

1 going to be recognition. And
2 certainly if there's a patient
3 population out there that's got
4 a bracelet or a tag on or for
5 care providers or relatives who
6 are familiar with their
7 situation and the paramedic
8 provider may not be specifically
9 up to speed on the nature of
10 adrenal insufficiency, but
11 perhaps knows enough to say,
12 Will you call my medical
13 oversight doc or medical control
14 doc? And say this patient was
15 poorly profused and they're
16 wearing this bracelet. Do I
17 need to do anything additional
18 with this? I have the
19 Solu-Medrol out of my box
20 already.

21 DR. STRED: That would be
22 spectacular. And we have
23 individual permission from
24 individual medical control

1 officers to do that when that
2 recognition is made.

3 Part of our push will also
4 be to assist you in the
5 inservices that will be required
6 for increased recognition of the
7 importance of this disorder and
8 its ease in treatment. We're
9 happy to do that.

10 We'd also like to ask that
11 it be publicized more fully that
12 an individual team can call
13 their medical control and say
14 this individual has this ID
15 bracelet. Can we please give
16 their own medication, which they
17 have in their hand right now?

18 DR. COOPER: Any other
19 questions from any other members
20 of the committee?

21 MR. WRONSKI: Just first
22 some comments. I absolutely
23 appreciate all of you coming up
24 today to speak for this group of

1 children, and adults too. Is
2 the breakdown known of the
3 12,000 in New York, how that
4 breaks out?

5 DR. STRED: Pituitary
6 issues are more common in adults
7 who had trauma or pituitary
8 adenomas -- pituitary surgery,
9 but we have an increasing number
10 of children who are now making
11 it to young adulthood with
12 disorders that used to be fatal.
13 So I can't give you a precise
14 breakdown right now by age. I
15 can only give it to you by
16 diagnosis.

17 MR. WRONSKI: In New York
18 State, we have a fairly robust
19 EMS system, but it does vary a
20 lot depending on where you are
21 and it's composed of basic life
22 support in conjunction with
23 advanced life support providers.

24 And I would estimate or I

1 would guess that there's no good
2 way to identify one of these
3 patients through the 9-1-1
4 system dispatch unless someone's
5 calling and knows the patient's
6 suffering a problem and they
7 tell the 9-1-1 dispatcher.

8 But barring that, I don't
9 think it would necessarily be
10 easy for EMS to identify the
11 patient through medical
12 symptoms. It would be more of
13 the bracelet or some -- am I
14 wrong on that?

15 DR. STRED: No. That's
16 exactly right.

17 MR. WRONSKI: The -- our
18 advanced life support system
19 certainly has, in many areas,
20 the capabilities of treating
21 this and to identify it. The
22 issue and the question is, do we
23 promote universal carrying of
24 this particular drug, the

ALEXI ASSOCIATES 33
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 Solu-Medrol, and how do we -- is
2 that needed? Is the current ALS
3 system and what it carries --
4 because Lee, remind me. It's
5 not always Solu-Medrol, is it?

6 MS. BURNS: If it's going
7 to be anything, it would be
8 Solu-Medrol. As we had
9 discussed, it's not -- one of
10 the issues that I think -- most
11 of you are aware of this, but
12 ALS protocols are written,
13 really, at the regional level
14 and that's permissible under the
15 statute. And many of them
16 include Solu-Medrol in the
17 asthma protocols that Dr.
18 Halpert indicated.

19 In speaking with one of
20 the medical directors in the
21 central New York area, they
22 removed it from their
23 prehospital protocol because
24 what they found was that by the

ALEXI ASSOCIATES 34
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 time -- it takes so long for it
2 to actually be effective in the
3 protocol, then why not give it
4 at the hospital. But Tim, do
5 your protocols include course --

6 MR. CZAPRANSKI: No.

7 MS. BURNS: Okay. I have
8 sent an e-mail to Andy to see
9 what the numbers are.

10 DR. STRED: I will point
11 out that the anti-inflammatory
12 action of the glucocorticoids is
13 used slower than the cardiac
14 contractility and is supported
15 for memory function.

16 MS. BURNS: Is there -- I
17 mean, I'm sure there is, but
18 from a prehospital perspective,
19 what would the difference
20 between Solu-Cortef and
21 Solu-Medrol be?

22 DR. HALPERT: I would
23 order that equivalent. I keep
24 both plus a variety of others

ALEXI ASSOCIATES 35
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 stored in my office and change
2 them fairly frequently.

3 MR. WRONSKI: The last
4 comment, just from the Bureau's
5 perspective, is that we've done
6 a lot of things over the years
7 where special groups come up of
8 interest. And a lot of it has
9 been resolved through more
10 robust education to the EMS
11 community. This is what you
12 might run into. And here's --
13 here's how you deal with that.

14 I think, first off, we
15 would have absolutely no
16 objection to doing that type of
17 a program. The question is,
18 what should that contain? What
19 should our direction be at EMS?
20 That's why it's here at this
21 body, to get some advice from
22 the EMSC committee. And then
23 later when we meet with SEMAC,
24 get the final recommendations

ALEXI ASSOCIATES 36
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 for what to do.
 2 But I think at the very
 3 least, certainly the Department
 4 will support an education
 5 program so that our EMS
 6 providers know these children
 7 exist. And if you do have a
 8 call -- not just children, but
 9 adults as well -- what will you
 10 do. So I think that's a very
 11 reasonable thing to do. Exactly
 12 how it will be composed and what
 13 else do we recommend, I leave
 14 that to the EMSC committee and
 15 the SEMAC to recommend. Thank
 16 you.

17 DR. COOPER: Thank you.
 18 There is one issue that I think
 19 is infrequently understood by
 20 the public at large. I know you
 21 understand this as health
 22 professionals and parents of
 23 children with congenital adrenal
 24 hyperplasia.

1 time, pull over to the side of
 2 the road on the way to the
 3 hospital to start the IV? You
 4 know, these are all questions
 5 that have to be factored in in
 6 terms of -- in terms of making a
 7 recommendation about -- about a
 8 drug like this.

9 The other comment that I
 10 might make is that while there
 11 is no specific protocol for the
 12 use of injectable
 13 glucocorticoids for treatment of
 14 CAH and other adrenally
 15 insufficient conditions, nowhere
 16 on the protocol does that
 17 proscribe. So it's not
 18 prescribed but it's also not
 19 proscribed.

20 And we have a long
 21 tradition in EMS of so-called
 22 discretionary decisions, where a
 23 medical director can be involved
 24 in a decision to use a drug that

1 But EMS is a funny system
 2 in many ways. We have the
 3 plethora of resources in the
 4 urban areas where they're least
 5 needed and the girth of the
 6 resources in the rural areas
 7 where they're most needed. The
 8 transport time to a hospital in
 9 the urban areas is usually no
 10 more than ten to fifteen
 11 minutes, urban and suburban
 12 areas, usually where the
 13 parents, docs and critical care
 14 techs are based. And again, of
 15 course, it takes a little bit of
 16 time to get control of the
 17 airway, get an IV established
 18 and so on. And do you spend the
 19 time moving the patient
 20 expeditiously to the hospital
 21 where -- where definitive
 22 treatment can be initiated
 23 quickly or immediately upon
 24 arrival, or do you take the

1 -- that is contained within the
 2 prehospital formulary although
 3 not necessarily normally
 4 utilized as part of the
 5 protocol.

6 You might ask, well, why
 7 wouldn't you just include it as
 8 part of the protocol? Well, you
 9 know, the answer to that is if
 10 you include it as part of the
 11 protocol, you have to spend a
 12 great deal of time teaching it,
 13 drilling it and so on. And many
 14 of our -- of our prehospital
 15 colleagues are volunteers and
 16 the amount of time that we have
 17 available and the curriculum to
 18 teach them about a very, very
 19 wide variety of things is
 20 extremely limited.

21 So I'm meaning by these
 22 comments to sort of give you a
 23 flavor of some of the -- some of
 24 the issues that we face as an

1 EMS system in terms of insuring
2 that the right patient gets the
3 right treatment at the right
4 time for the right reason. And
5 yes, in one way it is as simple
6 as this is a miracle drug that
7 will save the life of a child,
8 but in other ways it's far more
9 can complicated than that.

10 So I think probably at
11 this point, we as a committee
12 need to think about this and
13 come up with a recommendation.

14 I should note for the
15 record and for you that we as a
16 committee do not have the
17 authority to make a
18 recommendation to the
19 Commissioner specifically
20 regarding protocol. We do have
21 the authority to make a
22 recommendation to the SEMAC,
23 which can then make a decision
24 as to how it's included in the

ALEXI ASSOCIATES 41
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 most, you know, of
2 heart-wrenching importance to
3 you. So we will, I assure you,
4 keep that in mind as we
5 deliberate this really important
6 issue. So thanks so much for
7 coming.

8 DR. STRED: May I make two
9 quick comments about the
10 scientific content about what
11 you said?

12 DR. COOPER: Sure.

13 DR. STRED: And they are
14 brief. The first is that I
15 think the recognition factor is
16 actually easier for adrenal
17 insufficiency if the medic-alert
18 bracelet is there. So for
19 instance, if a person is in
20 imminent shock, has poor color
21 and has a bracelet on, I think
22 the recognition factor would be
23 pretty rapid.

24 The second is appropriate

ALEXI ASSOCIATES 43
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 protocol, which has to be signed
2 off on by the Commissioner.

3 But there are
4 opportunities, as Mr. Wronski
5 has indicated. Education,
6 certainly. There are other
7 opportunities available to us,
8 as well, advisory guidelines
9 and, of course, inclusion of the
10 drug in protocols for use under
11 the circumstances, you know,
12 that you mentioned this morning.
13 I think the information that you
14 presented is great. I know
15 we're deeply appreciative as a
16 committee for your taking time
17 to come before us. And meaning
18 no disrespect to you, Dr.
19 Stred, but especially Ms. Brown
20 and Ms. Ginsberg for the very
21 moving, you know, testimony
22 which you gave about your own
23 children. This is something
24 that, you know, I know must be a

ALEXI ASSOCIATES 42
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 and we've heard it raised when
2 we spoke in other kinds of
3 venues, is everyone
4 appropriately has in their mind
5 the potential danger benefit,
6 malice, of any medication. And
7 I want to go back to the fact
8 that this is incredibly safe.
9 Any of us in this room,
10 adrenally insufficient or not,
11 could take that medication in an
12 error in judgment and have zero
13 effect that day. Long term,
14 that's a whole another matter.
15 That's a real dangerous drug in
16 big doses and long term, but a
17 single shot on a single day
18 cannot hurt anyone.

19 DR. COOPER: I don't think
20 anybody disagrees with the
21 points you just made.

22 DR. STRED: Thank you.

23 MR. WRONSKI: Can I just
24 ask the committee for a sense of

ALEXI ASSOCIATES 44
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 -- I know we have to think
2 about protocol and what we might
3 say to the SEMAC -- to the
4 regional trauma advisory
5 committees that exist across the
6 state and what we want to say
7 there and that will take a
8 little bit more discussion. But
9 an educational program, a
10 program that we would, at least
11 in concept, support -- that this
12 committee supports the idea of
13 increasing the education, and I
14 don't mean in the -- necessarily
15 the basic life support
16 curriculum or the advanced life
17 support curriculum, but just a
18 standalone CME which we would --
19 the Bureau would support getting
20 information out to EMS providers
21 about this issue. Is that
22 generally supported by this
23 committee, that --

24 DR. KANTER: And in

ALEX ASSOCIATES
COURT REPORTING SERVICES, LLC
(518) 798-6109

45

1 particular, I think the
2 education must include the
3 importance of volume
4 resuscitation and hypoglycemia.
5 And giving the glucocorticoid
6 alone is a little bit like
7 giving antibiotics for septic
8 shock without the other --
9 DR. COOPER: Sharon?
10 MS. CHIUMENTO: I would
11 just suggest that one of the
12 things we can do is include it
13 as one of the considerations
14 under our regular shock
15 protocol. And just not -- I
16 would not write a specific
17 protocol just for this. I would
18 include it as an option, a
19 medical control option if you
20 want or whether -- under our
21 regular shock protocol. This
22 way, as they're considering
23 epileptic shock, that would be
24 something that would be

ALEX ASSOCIATES
COURT REPORTING SERVICES, LLC
(518) 798-6109

46

1 considered then. They would
2 then say, okay, I've got the
3 medic-alert bracelet, I've got
4 shock symptoms, this is what I
5 need to do.

6 DR. STRED: That's where
7 it is in the Rhode Island
8 protocol.

9 DR. HALPERT: I would echo
10 that sentiment, Sharon. I mean,
11 that's really, in the emergency
12 department -- in the emergency
13 department, that's a standard
14 operating procedure. A patient
15 comes in in shock. You know, if
16 you add at least one dose of
17 glucocorticoid, you're -- it's
18 imminently safe and imminently
19 lifesaving in the right patient.
20 And often times, it doesn't
21 change things. But in that
22 case, it's very useful.

23 I think from an academic
24 perspective, though -- it's a

ALEX ASSOCIATES
COURT REPORTING SERVICES, LLC
(518) 798-6109

47

1 natural topic for a Vital Signs
2 presentation. I mean, maybe you
3 want to, say, involve a GEMS
4 type of program, which is rather
5 comprehensive and large and it's
6 been out there for a while, but
7 at least as an introduction,
8 you've got a willing group of
9 people right now who want to get
10 this on track. You've got a
11 mechanism that exists. It's a
12 good topic. It's timely in many
13 different ways. You know, it
14 intertwines with a lot of things
15 that go on. It's a typical
16 Vital Signs conference. So, I
17 mean, it's a perfect topic.

18 DR. COOPER: Personally, I
19 think the last few comments have
20 really summed it up very nicely.
21 What's needed here is a
22 contextual approach rather than
23 a -- rather than a standalone
24 approach. Certainly, a

ALEX ASSOCIATES
COURT REPORTING SERVICES, LLC
(518) 798-6109

48

1 standalone document, whether
2 it's an advisory guideline or
3 simply a letter to ambulance
4 services indicating that it's
5 easy to recognize patients with
6 adrenal insufficiency if they're
7 wearing a medic-alert bracelet
8 and that calls for a particular
9 response. That part's easy to
10 do.

11 In terms of creating an
12 entire CME program, I would far
13 rather, as I think Bob and
14 Sharon and Jon suggested,
15 include this in some ways as
16 part of the broad categorization
17 of -- of kids in shock and kids
18 with congenital adrenal
19 hyperplasia. I'm a pediatric
20 surgeon, so I'm a little off my
21 best here, but, of course, you
22 know, we do see very, very young
23 infants that will present with
24 shock and there is an entire

ALEX ASSOCIATES 49
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 differential, independent
2 lesions and CAH and -- but
3 primarily overwhelming sepsis,
4 as you know. And unless they're
5 wearing that tag, the
6 recognition can be -- can be
7 difficult even in the hospital.
8 So it's really within the
9 context of shock, you know, as
10 more broadly defined that I
11 think we need to approach this
12 in whatever way we think will
13 reach the most people.

14 So unless there are any
15 other comments, I think we'll
16 once again thank you so much for
17 your time and your effort for
18 coming here today.

19 DR. STRED: Thank you for
20 allowing us to be here.

21 DR. COOPER: Sure. It's
22 really great you came. Thanks.
23 And Ms. Brown and Ms.
24 Ginsberg, thank you so much for

ALEX ASSOCIATES 50
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 your -- again, your stories.
2 They are very, very important to
3 us and everyone. Okay. Good.

4 What I will do is defer
5 ongoing discussion on this until
6 a little bit later in the
7 meeting to allow people to sort
8 of cogitate about it just a
9 little bit. And since I see
10 that they're loading up the
11 table over there, I want to move
12 pretty quickly to the Bureau of
13 EMS report, and if there's time,
14 Martha, your presentation before
15 we actually get into lunch hour.

16 MS. GOHLKE: We can
17 actually take a quick break
18 after the Bureau's report and
19 then get our lunches and I can
20 do my presentation during lunch.

21 DR. COOPER: Sounds good
22 to me. Okay. So Mr. Wronski,
23 we're looking forward to hearing
24 the good news from all of you.

ALEX ASSOCIATES 51
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 MR. WRONSKI: Yes. Thank
2 you. I appreciate being here.
3 And just for the record,
4 Marjorie Geiger says hello to
5 all of you.

6 DR. COOPER: Please say
7 hello back.

8 MR. WRONSKI: We will. I
9 will. Obviously on everybody's
10 mind is budget. All right. So
11 what's the budget look like
12 right now? The answer is it's
13 anybody's guess. What I would
14 -- and the reason I say that is
15 that with the expectation of
16 federal funding, which is going
17 to be put into many states,
18 including a significant amount
19 of money into New York State,
20 this will affect how the budget
21 is viewed.

22 From my personal
23 perspective, and I will say
24 personal because the Governor

ALEX ASSOCIATES 52
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 doesn't talk to me about these
 2 things, the New York State
 3 budget is still in a very
 4 problematic area and that is
 5 that we've overspent and we
 6 haven't brought in enough money
 7 to pay the bills. That was very
 8 clear. There is a big hole.
 9 And even with the federal
 10 funding, which certainly will
 11 help things and move things in a
 12 more positive fashion along,
 13 we're going to have to adjust as
 14 a state and as government, as
 15 well, on how we use the money so
 16 that we don't, when that federal
 17 money has run out in assist to
 18 us, wind up in the same kind of
 19 fix. So I believe that is
 20 really what's on the table at
 21 the -- in the state negotiations
 22 that are going on as to how to
 23 revisit the state budget.

24 For a more specific

ALEXY ASSOCIATES 53
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 comment on the EMS Bureau's
 2 budget, that hasn't changed.
 3 It's 20.8 million dollars. That
 4 was the recommendation by the
 5 Governor. It's a match to last
 6 year's funding. I have not
 7 heard any suggestion that that
 8 will change either up or down.
 9 So we'll be able to pay as we
 10 have to continue our efforts to
 11 support EMS training and our
 12 regional efforts.

13 And some money is used
 14 within the Bureau's budget,
 15 state funding, to help support
 16 EMSC efforts. But again, there
 17 is no suggestion that the money
 18 will go up or down. It will
 19 pretty much remain flat line
 20 from last year.

21 The other big ticket item
 22 is the argument over Medicaid
 23 funding and that is, again,
 24 something that's going to be

ALEXY ASSOCIATES 54
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 revisited, I believe, because of
 2 the federal monies that are
 3 coming in, but I have no answers
 4 for you. All I can tell you is
 5 pay attention and see what comes
 6 out of these negotiations that
 7 are ongoing right now at the
 8 state level.

9 A good piece of news -- I
 10 don't know if this was mentioned
 11 by Martha. The Governor's
 12 traffic safety committee did
 13 award the Department a grant,
 14 approximately \$250,000 a year,
 15 which is preliminarily for three
 16 years to help us develop and
 17 implement a platform to change
 18 our New York State PCR system so
 19 that we're able to efficiently
 20 collect and also analyze PCR
 21 data electronically, PCR data in
 22 the coming years. Some of you
 23 know or some of you may not
 24 that, at present, we're getting

ALEXY ASSOCIATES 55
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 about, volume perspective, close
 2 to half of our New York State
 3 data electronically, and this is
 4 because we have a couple
 5 regions, what we normally term
 6 non-New York City regions, who
 7 are submitting data
 8 electronically. And now New
 9 York City, while not a hundred
 10 percent, is close to a hundred
 11 percent of the 9-1-1 system
 12 calls that we receive from the
 13 City electronically. We also
 14 know that Suffolk County, as an
 15 example, is going to electronic
 16 data collection probably within
 17 the next twelve months to full
 18 online. And there are a couple
 19 of other regions who are
 20 exploring that, as well.

21 The advantage or the
 22 benefits to this committee is
 23 that hopefully by next year,
 24 you'll be seeing more and more

ALEXY ASSOCIATES 56
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 data coming in on a contemporary
 2 basis. The other big advantage,
 3 and I put this on the table
 4 here, is that we'll be moving
 5 towards a NEMSIS compliant
 6 database. And that means we'll
 7 be changing our data collection,
 8 we'll be educating our EMS
 9 providers once we decide exactly
 10 what data elements to -- to move
 11 to from the NEMSIS database and
 12 hopefully having a richer and
 13 stronger database so we can
 14 evaluate our prehospital care
 15 system.

16 What I would ask the EMSC
 17 committee to do, just as we've
 18 asked the state council and the
 19 SEMAC, is to make sure you've
 20 looked at the NEMSIS database
 21 and make recommendations to your
 22 committee as to what you think
 23 from a pediatric standpoint
 24 should be collected in that

1 online. We are printing hard
 2 copies which are going to go to
 3 our training sponsors later this
 4 year.

5 I do want to bring up a
 6 sad case, but I think it's an
 7 object lesson to learn from.
 8 There are some things we think
 9 are basic and that everyone
 10 knows, but apparently that's not
 11 always true. We had a case in
 12 the public access defibrillation
 13 program in one of the schools in
 14 Suffolk County in which a six
 15 year old boy had complained of
 16 rapid heartbeat and chest pain
 17 and was taken to the nurse's
 18 office and was defibrillated
 19 while awake and talking to the
 20 nurse. And we're looking into
 21 how this could have occurred,
 22 how the AED unit could have
 23 recommended shock. And it did,
 24 it recommended shock. And the

1 database. And that's ongoing in
 2 our QI committee or our
 3 evaluation committee of the
 4 state EMS council. But if you
 5 do have comments you'd like to
 6 make at some point before this
 7 process is done, please do so.

8 The 2008 protocols are
 9 online now. And what does that
 10 mean? Did we rewrite the New
 11 York State protocols? No, we
 12 did not. What we've been doing
 13 is rewriting them for the last
 14 several years. But what finally
 15 happened is we put them into a
 16 finalized state protocol book
 17 again so that all of the revised
 18 protocols that have been revised
 19 since 2003 -- each year we did
 20 one or two revisions -- are now
 21 all collected as a sole
 22 document, a single document, and
 23 termed the 2008 BLS adult and
 24 pediatric protocols. And that's

1 boy was shocked and luckily is
 2 fine. But what this issue
 3 brought us -- brought to our
 4 attention on a statewide basis
 5 is the constant need to
 6 re-educate and to remind people
 7 what the protocol says. And
 8 also to constantly look at our
 9 machinery and is it outdated, is
 10 it up-to-date or not. And so
 11 not only the Bureau, but the
 12 state education department is
 13 looking at the program and we're
 14 evaluating how this could have
 15 occurred.

16 But, you know, as fellow
 17 interested parties in the EMS
 18 system and our children, again,
 19 I take it as an education point
 20 that no matter how well trained
 21 you are, things can happen in an
 22 emergency or suddenly and you
 23 might forget the rules for the
 24 moment.

1 DR. COOPER: Mr. Wronski,
2 just a quick question about that
3 particular case. This is the
4 first I've heard of that. Is
5 this recent?

6 MR. WRONSKI: It was March
7 4th.

8 DR. COOPER: Quite recent,
9 March 4th. And has it been
10 reported to the FDA?

11 MR. WRONSKI: The -- at
12 this point, I don't know that
13 that's formally happened, but we
14 intend to do so from our Bureau.
15 I believe that may have occurred
16 through another source, but
17 we'll be in touch with --

18 DR. COOPER: That's
19 vitally important, because the
20 decision by the FDA to approve
21 the AED for pediatric use was
22 predicated on the notion that --
23 because events like this had
24 never been reported to happen.

ALEXY ASSOCIATES 61
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 And they -- very, very
2 important to report it
3 immediately if it has not been
4 reported thus far, and that they
5 need to make a decision about --
6 about this, at least in terms of
7 sending out some kind of warning
8 to the general medical
9 community.

10 MR. WRONSKI: I don't
11 disagree. I believe it has
12 been, but we were going to
13 follow up with them to insure
14 that it was. And it is an older
15 model machine and we believe
16 it's one of the models which we
17 had identified early on in our
18 discussions on the automatic
19 machines in pediatrics and it
20 had to do with default settings
21 for cardioversion and it may not
22 have been on the off setting.
23 So we think the machine was
24 recommending shock for

ALEXY ASSOCIATES 62
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 cardioversion and that may have
2 been the complicating factor
3 here.

4 DR. HALPERT: So there may
5 have been a tachy arrhythmia, but
6 whether or not the patient was
7 under-perfused enough to require
8 cardioversion is another story.

9 MR. WRONSKI: Right.

10 DR. COOPER: Bob?

11 DR. KANTER: But all those
12 potential errors are really not
13 pediatric-specific. They may be
14 just as important for an adult
15 with the same arrhythmia.

16 DR. HALPERT: Right. It's
17 still the people factor and
18 should I push the button or not.

19 MR. WRONSKI: Yeah. It
20 is. We can't educate the
21 machine. We can pull it off of
22 the shelf. But we constantly
23 have to remind each other, you
24 know, what the basic rules are.

ALEXY ASSOCIATES 63
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 So re-education is critical.

2 DR. COOPER: Of course,
3 we're all aware of the
4 literature stating how well or
5 how poorly both professional
6 rescuers and lay rescuers are
7 impulsive. If we're
8 establishing the presence or
9 absence of a perfusing rhythm,
10 it is not necessarily, you know,
11 as straight forward as we would
12 like it to be.

13 I'm sorry, Mr. Wronski. I
14 didn't mean to interrupt.

15 MR. WRONSKI: It's okay.

16 DR. COOPER: But it was
17 such a vitally important issue
18 that I felt I needed to comment,
19 as did the others.

20 MR. WRONSKI: Ryan White.
21 And very briefly, we mentioned
22 this at prior state EMS
23 committees, the Ryan White Act
24 back in 2006 was modified by the

ALEXY ASSOCIATES 64
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 federal government and dropped
2 the coverage for prehospital
3 care providers and other first
4 responders for access to
5 information regarding exposure
6 to an HIV patient. This was
7 done inadvertently. It was an
8 error. But it's still not
9 corrected and our understanding
10 is the federal law might not be
11 touched until next year.

12 But there is a state
13 regulation that actually
14 provides for the ability for EMS
15 providers who may have had an
16 exposure to get that information
17 and we've been doing an
18 education piece on this and
19 sharing with our EMS community
20 the state regulations which
21 already exist which allows this
22 to occur.

23 And I don't seem to have
24 it with me, but there's an

1 algorithm for doing this. But
2 if any of you are interested,
3 we'll e-mail you that
4 information. It is on our EMS
5 website, so you can go to our
6 website and see all the
7 information about the
8 modification -- not
9 modification, the fact that Ryan
10 White has changed. But there is
11 a state regulation that covers
12 providers if they are exposed.

13 I want to bring up another
14 unfortunate thing. And some of
15 you may personally know this and
16 some of you may not, and that's
17 the closure of the -- rather the
18 stress that's going on with our
19 hospitals.

20 The Berger Commission last
21 year identified a number of
22 hospitals and other facilities
23 they felt needed to be closed in
24 the system to make the system

1 overall healthier by closing
2 these facilities or having them
3 merge, etcetera. And that has
4 been causing some stresses in
5 the local community. But what
6 we've also been seeing is
7 stresses on hospitals in which
8 two thing are occurring. One,
9 they're not able to staff to the
10 level that you want them to
11 staff. It may be a trauma
12 center. It may be a children's
13 hospital. It may be a burn
14 center. And it's more and more
15 difficult these days,
16 particularly in some of our
17 non-New York City communities
18 but even in New York City, to
19 have 24/7 coverage of needed
20 specialties. And we're seeing
21 this in our trauma system
22 tremendously. We now have in
23 the southern tier no formal
24 trauma coverage -- all right --

1 formal -- from Jamestown in
2 western New York all the way to
3 Binghamton, and that's because
4 two area trauma centers had to
5 give up their designations as
6 trauma centers because they were
7 unable to get the staffing
8 coverage. And that varied from
9 24/7 anesthesia ability,
10 neurosurgical capability and
11 even general surgery, general
12 trauma surgery. General
13 surgeons are committed to trauma
14 calls. And we know there are
15 other facilities who are having
16 difficulties with this.

17 We also have financial
18 difficulties and we had two
19 hospitals in Queens, New York
20 which recently closed. I was
21 involved in discussions on a
22 day-to-day basis with these
23 hospitals to arrange for
24 coverage. The two hospitals,

1 St. John's and Mary Immaculate
2 hospitals -- Mary Immaculate was
3 a regional trauma center -- both
4 have closed effective the first
5 week of March. I don't remember
6 the specific date.

7 But what they did is it
8 then produced a movement of
9 emergency department patients to
10 remaining hospitals in the area
11 that was quite a -- quite a
12 change. And so 28,000 ED
13 patients who had gone to these
14 hospitals were now split and
15 moving to other EDs in an
16 already crowded system.

17 So the Department
18 continues to work with existing
19 hospitals that remain and with
20 the EMS system to try to build a
21 replacement structure to deal
22 with both the patients going to
23 the emergency departments but
24 also build in place primary care

1 and clinical services that were
2 also lost when these two
3 hospitals closed.

4 And I think as providers
5 and a committee that oversees
6 children's issues, you need to
7 be aware of these tremendous
8 pressures that are going on in
9 all our hospitals around the
10 state and to look at your own
11 region to see -- well, how
12 healthy is my particular region
13 and my particular hospitals.
14 Sometimes you can focus on your
15 particular area and not see the
16 big picture until it's already
17 on top of you. And I think, in
18 fact, that happened in one of
19 the Queens hospitals. Many of
20 the providers didn't realize the
21 stress, the financial stress,
22 that their facility was under
23 until the point that it closed
24 its doors.

1 And so what I would ask
2 you to do is just pay attention
3 overall to your healthcare
4 system and advise us as soon as
5 you know that there might be
6 issues that we should work with
7 the local community on.

8 I know Martha, and I think
9 it's on the agenda, and the
10 school of public health
11 representatives are here to
12 answer questions.

13 We have a draft of a new
14 pediatric -- pediatric report
15 that you will be able to review
16 and comment on. I'm very happy
17 for that and I'm proud that
18 we've gotten a new report that
19 we'll be able to issue this
20 year.

21 The two other quick
22 points. We are going to ask you
23 to look at trauma regs. Dr.
24 Cooper has shared them with you,

1 and that is in tandem with our
2 State Trauma Advisory Committee,
3 that is revising trauma
4 regulations for area regional
5 centers. But we're also looking
6 at a pediatric piece and blood
7 regs.

8 If you don't know, we have
9 mailed out to all of our
10 advisory committees and they
11 have commented on changes in
12 blood regulations which would
13 allow in interfacility transport
14 the carrying and maintenance of
15 blood products for a patient
16 that may need them in an
17 interfacility transport by EMS.
18 Prior to this, you needed a
19 nurse or a physician or a PA or
20 other licensed provider. But
21 these new regs, when they are
22 finalized, will allow EMS to
23 move a patient in between
24 hospitals with blood products

1 running. If you haven't seen
2 those regulations, let me know
3 and we can send e-mail copies to
4 you.

5 The last is May 20th and
6 there is a flier here. Our EMS
7 memorial dedication at the
8 Empire Plaza this year will be
9 honoring two providers who lost
10 their lives in the line of duty.
11 There is a third who lost his
12 life up in the St. Lawrence
13 Seaway area this year and it was
14 a very unusual occurrence
15 because he was shot by a patient
16 and killed during a call. He
17 was a young man, twenty-five
18 years old. He was in school to
19 become a paramedic. He had been
20 an EMT for less than two years
21 but was very much committed to
22 EMS. And he was called to a
23 chest pain case, went in with a
24 crew and the patient was upset,

ALEX ASSOCIATES 73
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 went into another room, found a
2 rifle and shot this EMT as he
3 was trying to leave because they
4 were trained that in situations
5 like this, try to back away from
6 the patient. And the patient
7 shot and killed him. It's
8 really unfortunate. Again,
9 serves underlying dangers of the
10 system and also how aware we
11 have to be in EMS to protect
12 ourselves. So while he's not on
13 the memorial this year, his name
14 will be added to the memorial
15 next year. I just wanted to
16 mention that.

17 Unless there is any
18 questions, my report is done.

19 MS. GOHLKE: I just want
20 to update you on a couple things
21 on the grant before we'll take a
22 break and we'll get some lunch
23 and then I'll do my little Power
24 Point presentation.

ALEX ASSOCIATES 74
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 DR. COOPER: Mr. Wronski,
2 I did have one question
3 regarding the report. You did
4 touch on closure of a number of
5 services across the state,
6 focusing mainly on trauma
7 centers, but you did touch
8 briefly on pediatric
9 capabilities, as well. And as
10 you know, there has been some
11 concern in the New York City
12 region for some time that
13 prehospital providers don't
14 always know which trauma centers
15 are -- that are said to be
16 capable of caring for children
17 actually are capable of caring
18 for children and, therefore,
19 ultimately requires secondary
20 transport to another center. I
21 know that the New York City
22 regional trauma advisory
23 committee has brought this issue
24 to your attention. I just

ALEX ASSOCIATES 75
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 wondered if there has been any
2 progress the Department's been
3 able to make in sorting this
4 issue out?

5 MR. WRONSKI: Yes, they
6 have, and I thank Martha for her
7 help. She helped compose
8 letters that are being prepared
9 right now to go to all the
10 trauma centers in the City, two
11 different letters.

12 But one of the first
13 things we did was we did a
14 review of all of our inhouse
15 information, files for the
16 hospitals, to confirm that at
17 least through documents, there
18 are twelve of the regional
19 trauma centers in New York City
20 who have committed to the care
21 of pediatrics and who, by
22 documents and commitment, say
23 they can care for the needs of
24 the major pediatric trauma

ALEX ASSOCIATES 76
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 patient.
 2 There has been, you know,
 3 issues raised by the New York
 4 City -- some New York City
 5 facilities whether or not that's
 6 confident information, so we're
 7 sending letters to all the
 8 regional trauma centers asking
 9 for a recommitment and are you,
 10 in fact, capable of doing that
 11 and do you have in place all the
 12 components of pediatric
 13 regulations. And we'll be
 14 asking them to attest to that.
 15 Whether we do something after
 16 that once we get that response
 17 will depend on what the
 18 responses are and what
 19 information we may have.
 20 DR. COOPER: Thank you,
 21 Mr. Wronski. It's really good
 22 to see some progress on that
 23 area. It has been a taxing
 24 problem for a long time.

1 Martha, do you want to
 2 take a break now?
 3 MS. GOHLKE: Can I just
 4 say two things, comments?
 5 DR. COOPER: Sure.
 6 MS. GOHLKE: Then we'll
 7 take a break. The grant was
 8 refunded, so that's good news.
 9 It was a little bit -- it was a
 10 little bit of angst with the
 11 whole, you know, budgetary
 12 crisis on a national level and
 13 worldwide level, but actually
 14 supposedly Obama and his staff
 15 is looking at funding for next
 16 year in April. So I was a
 17 little more concerned about next
 18 year being that now he's had the
 19 time to sit down with a scalpel,
 20 I think is what he was referring
 21 to, about cutting programs going
 22 through.

23 But the good news is
 24 Dr. Wakefield is now going to be

1 the HRSA president, CEO,
 2 whatever --
 3 DR. COOPER:
 4 Administrator.
 5 MS. GOHLKE: --
 6 administrator. Dr. Wakefield
 7 was the parent of the child that
 8 died many years ago that this
 9 act is named for, so I think
 10 we're okay -- at least I think
 11 I'm okay, at least as long as
 12 she stands over herself. So
 13 good news for the grant.
 14 And then just what -- Ed,
 15 I think, touched upon a lot of
 16 the information. We have a
 17 periodic conference call through
 18 the national resource center
 19 who's the technical assistance
 20 contractor for HRSA for
 21 providers on this grant. And
 22 they do periodic conference
 23 calls with the grantees and they
 24 highlight each one of the

1 performance measures and then
 2 they have states who have
 3 accomplished or on their way to
 4 accomplishing performance
 5 measures and talk about their
 6 successes and how they went
 7 about it so the other grantees
 8 can listen in. And we have one.
 9 I mentioned that New York State
 10 was highlighted a while -- a
 11 couple months ago in one of
 12 these conference calls because
 13 of our white paper and moving
 14 steps towards regionalization.
 15 But New York is also going to be
 16 highlighted again in May. I'll
 17 be talking about -- we're the
 18 only state, come to find out,
 19 that is as far along with
 20 mandating the goals of the
 21 performance measures of this
 22 grant. We've accomplished the
 23 most in the mandate format than
 24 any other state. So I have to

1 give kudos to my predecessors,
 2 Gloria and of course Marjorie
 3 for the work that they did. I'm
 4 just, you know, getting the
 5 claim at this point. So it's
 6 nice to be sitting here and have
 7 all that great work behind me.
 8 But I just wanted to mention
 9 that, that we're getting
 10 highlighted again in May. So
 11 hats off to you guys, 'cause you
 12 did all the hard work.

13 And why don't we take a
 14 break for lunch. Take ten,
 15 fifteen minutes, you know, and
 16 get your lunch, bring it back to
 17 the table, and then I'll go
 18 through my slide presentation on
 19 the survey data that Brian and I
 20 worked on. Okay.

21 DR. COOPER: We are
 22 recessed until everyone gets a
 23 plate.

24 (Whereupon, a lunch recess

ALEXI ASSOCIATES 81
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 was taken.)

2 MS. GOHLKE: Okay. I'm
 3 going to start rolling my
 4 presentation here. Okay. So
 5 let's see. Last year sometime
 6 -- yeah, last year. It's almost
 7 a year now. I collected data on
 8 the interfacility transfer
 9 guidelines and agreements for
 10 hospitals and I also did a
 11 survey at the same time with EMS
 12 agencies on their medical
 13 direction and their pediatric
 14 equipment that they carry
 15 according to the grant. So
 16 thank you, Brian.

17 I was able to enlist
 18 Brian's help from the School of
 19 Public Health to help me crunch
 20 these numbers, which saved me a
 21 lot of headaches and complaints.
 22 I'm still complaining, and Brian
 23 took the brunt of it, but it's
 24 not nearly as bad as if I did it

ALEXI ASSOCIATES 82
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 all myself.

2 In your packet, I just
 3 want to draw your attention --
 4 I'm not going to go through it
 5 unless you have questions, but
 6 the NEDARC, which is the data
 7 contractor for HRSA, put
 8 together these fact sheets, you
 9 know, formatted them, and states
 10 could just stick in their
 11 results from their surveys. And
 12 if you get a chance, peruse
 13 through it as some point.
 14 Eventually we're going to post
 15 this online on the EMSC website.
 16 But if you see anything glaring
 17 that doesn't make sense, let me
 18 know. So you can look at that
 19 at your leisure.

20 Okay. So these surveys --
 21 this survey went out together.
 22 The EMS agencies had to answer
 23 both of these together about
 24 pediatric medical direction --

ALEXI ASSOCIATES 83
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 both online and offline medical
 2 direction and also they were
 3 inquired about their equipment
 4 that they had on scene,
 5 pediatric equipment.

6 We'll first talk about the
 7 medical direction survey. This
 8 goes for both. So in New York,
 9 we certify and regulate about
 10 1,200 services. NEDARC did a
 11 random sample of who we needed
 12 to survey. Thank God, I didn't
 13 need to do all 1,200. They
 14 picked 467 services that we had
 15 to survey. And as you can see,
 16 the split out there, 219 BLS and
 17 248 ALS. And you can see how
 18 they were -- the EMT basics and
 19 the EMT intermediates were
 20 cleared at a BLS level
 21 nationally. And then ALS, our
 22 EMT critical care techs and
 23 paramedics were at an ALS level.
 24 So when you see BLS and ALS, I

ALEXI ASSOCIATES 84
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 have national numbers in the
2 presentation, too. You'll know
3 how our groups compare to
4 theirs.

5 You may have remembered I
6 had a choice of doing a paper
7 survey or an online survey, and
8 we did both because we weren't
9 sure about the accessibility of
10 the internet with our services
11 at a volunteer level, so we
12 decided to do paper and online.
13 Those that completed it on
14 paper, a bunch of us, we offered
15 to enter it online because the
16 NEDARC software survey
17 instrument that we utilized.

18 And again another lesson
19 learned here was that most of
20 the providers preferred to do it
21 online. I sent them the paper
22 copy and it got lost or it never
23 got to the person it needed to.
24 And I would followup with them

ALEXI ASSOCIATES 85
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 provider level who are actually
2 answering calls to answer the
3 survey. I can't say that was
4 the case with our survey,
5 because we didn't want to put
6 somebody's name on the address
7 of the envelope in case that
8 person had moved on or was no
9 longer captain or -- you know,
10 we didn't want somebody to say,
11 Oh, it's not addressed to me.
12 I'm not answering it, type of
13 thing. So we just addressed
14 them to the agencies and whoever
15 answered it, answered it.

16 And I have to be honest,
17 sometimes when I followed up
18 with agencies, somebody would
19 say to me, Oh, you know, I
20 answered the survey, but I'm
21 really more involved at the fire
22 level than I am with the EMS
23 level. So I can't always say it
24 was the best person that

ALEXI ASSOCIATES 87
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 and they'd say, Is there any way
2 I can do this on the computer
3 somehow? So it was nice to have
4 it done both ways. And the next
5 time around, I'll probably just
6 do it all online. And if a
7 person requests it on paper,
8 send out the paper, but
9 primarily just give them the
10 online link.

11 377 services completed the
12 survey, so that was just over
13 the eighty percent threshold
14 that the feds required of all
15 our surveys. And I enlisted a
16 lot of people's help in getting
17 this 80.7 percent.

18 So one of the things that
19 the feds wanted -- that plays
20 into the answers in the survey,
21 who answered the survey. You
22 know, obviously they wanted key
23 personnel who were involved at
24 an administrative level and at a

ALEXI ASSOCIATES 86
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 answered the survey, but we got
2 an 80.7 percent return rate so
3 that's all we needed.

4 So this is just some
5 national numbers. Thirty-nine
6 states in six territories
7 actually did this survey. Not
8 everybody -- well, most
9 everybody had to do the survey,
10 but I'll get back to that later.

11 So that's the numbers
12 there that nationally had put
13 their number to NEDARC. Our
14 numbers are not national numbers
15 because they compiled their data
16 early fall and my numbers
17 weren't in yet. So keep that in
18 mind when you see the national
19 numbers.

20 So they had over 5,300
21 records that were reported on
22 average. Per state, 158
23 agencies were answering the
24 survey. Now, I really want to

ALEXI ASSOCIATES 88
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 be the EMSC coordinator in Guam,
2 because they have one service
3 there on the island. It would
4 be great to be the coordinator
5 there, I think. But anyway --
6 okay.

7 So we asked them, you
8 know, some basic information
9 about who they are. So
10 thirty-four percent of our
11 respondents were at an EMT BLS
12 level nationally, EMT-Bs and
13 EMT-Is. Nine percent were
14 critical care techs or ILS on a
15 national level and fifty-seven
16 percent were at a paramedic
17 level.

18 About nineteen percent of
19 the services were paid --
20 completely paid services. Sixty
21 percent of the services are
22 still all volunteers and then
23 twenty-one percent are a
24 combination of paid and

1 volunteer staff. About
2 fifty-five percent self-reported
3 that they respond to mostly
4 rural areas and that was pretty
5 much the same as the national
6 numbers. And 10.6 percent of
7 New York State incidents are
8 pediatric, meaning there were
9 under -- eighteen and younger --
10 or under the age of eighteen --
11 actually under the age of
12 eighteen, seventeen and younger.
13 So we're a little higher than
14 the national numbers as far as
15 the number of calls being for
16 children.

17 One of the questions that
18 we asked them was at what age do
19 you consider a pediatric
20 patient. It starts at what age
21 and ends at what age. So
22 fifty-four percent of the
23 providers said that it starts at
24 age zero, forty-one percent said

1 it starts at age one, and then
2 four percent had different
3 numbers. And then ends at age
4 eight years fifteen percent
5 said, seventeen percent said --
6 or four percent said at
7 seventeen years, forty-five
8 percent at eighteen years and
9 thirty-seven percent that had
10 other ages, too.

11 So what's kind of
12 interesting is that, you know,
13 what I drew from this is that
14 when you write protocols and you
15 just use the term pediatric,
16 what the provider interprets in
17 terms of what age that is. Our
18 ALS protocol say fifteen and our
19 BLS say eighteen, even within
20 the state. So it just varies
21 widely. So you've got to wonder
22 what the treatment implications
23 are in this case.

1 you know, in the survey was
2 under the age of eighteen, but,
3 you know, they say -- they split
4 it out zero to twenty-eight days
5 is a neonate, twenty-nine days
6 to one year is an infant, one to
7 eleven years is a child and
8 twelve to eighteen is an
9 adolescent. They would all be
10 considered pediatric, though.
11 So again, the mandate is
12 important as far as how that
13 plays out.

14 They were asked how many
15 calls they answered on an annual
16 basis, and we did have FDNY in
17 there in the survey. So the
18 high end was, you know, over a
19 half million, 650,000 plus and
20 the low was twelve.

21 And then pediatric goes --
22 some agencies said, We've never
23 had a pediatric call. Lucky
24 them. And then, of course, FDNY

1 was at the high end there. So
2 the median number, if you look
3 statewide, was about 525 calls a
4 year and 50 below eighteen.

5 Probably one of the things
6 I hear most in the year I've
7 been doing this is people come
8 up to me and say, you know, We
9 so rarely have kids and we're so
10 nervous when we finally do have
11 a child that we need more
12 training. It's so infrequently
13 that we get to practice, so
14 these numbers kind of support
15 that.

16 Okay. So then we get to
17 the survey questions about -- in
18 this case we talked about
19 online. And you do have a copy
20 of the survey, if you're
21 interested, in your packet. You
22 can look at the actual -- how
23 thick it is, at least. And I
24 think I double-sided it. But it

ALEXI ASSOCIATES 93
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 question. I mean, it's their
2 perception, who is at the other
3 end of the phone. And are they
4 asking for credentials? I don't
5 think so, but --

6 DR. HALPERT: That could
7 be --

8 COURT REPORTER: Could you
9 use the microphone, please? I
10 can't hear you.

11 DR. HALPERT: I'm sorry.
12 I apologize. If they're in
13 Ogdensburg, it could be a PA
14 that's answering the phone and
15 they're probably a great PA but
16 not necessarily have the
17 credentials to do --

18 MS. GOHLKE: And whether
19 or not the provider who's
20 calling you to do that is asking
21 what the credentials are.

22 DR. HALPERT: They're just
23 comfortable because they happen
24 to know that PA because they

ALEXI ASSOCIATES 95
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 is a hefty survey and it
2 required a lot of reading.

3 So the first question when
4 you have a pediatric call and
5 they need pediatric-specific
6 online medical direction at the
7 scene of an emergency, is it
8 always available to them?
9 Eighty-five percent, always.
10 Eight percent said usually and
11 then you got the lower numbers.
12 So again it's
13 pediatric-specific. Not only
14 can you get somebody on the
15 phone, but do they know what
16 they're talking about in regards
17 to peds if you have a peds
18 question.

19 DR. HALPERT: Are you
20 characterizing in general like
21 that or are you saying it is
22 someone that has FAAP after
23 their name?

24 MS. GOHLKE: That's a good

ALEXI ASSOCIATES 94
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 live next door to him.

2 MS. GOHLKE: Right.

3 DR. HALPERT: That's not
4 out of the question,
5 necessarily.

6 MS. GOHLKE: Excellent
7 point. So do you feel that the
8 individuals answering the phone
9 are able to provide the
10 necessary pediatric medical
11 direction? So eighty percent
12 said always, fourteen percent
13 said usually, and then you've
14 got the lower numbers,
15 sometimes, rarely and never. So
16 again, pretty high. But the
17 feds want to see over ninety
18 percent. They want to see that,
19 you know, over ninety percent of
20 the time, providers are getting
21 pediatric-specific online
22 medical direction. That's the
23 goal.

24 DR. COOPER: As I recall,

ALEXI ASSOCIATES 96
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 the feds does not specify
2 either, correct? In other
3 words, the performance measure
4 doesn't necessarily require the
5 online medical direction --

6 COURT REPORTER: I'm
7 having a hard time hearing you.

8 MS. GOHLKE: Right. The
9 feds take on it, at this point,
10 is that as long as it's a person
11 on the other end of the phone
12 that has a higher level of
13 pediatric training than the
14 caller, they're okay with it.

15 MS. ROGERS: Oh my gosh.

16 MS. GOHLKE: This has been
17 a discussion with SEMAC, as
18 well, about how the state wants
19 to deal with this. But, I mean,
20 one of the comments, I should
21 say, when I presented this to
22 SEMAC medical -- I can't
23 remember, Medical Standards --
24 thank you.

1 control is a TAG not available
2 in some large areas in different
3 states on any kind of regular
4 basis. Even New York State has
5 some difficulty in some areas
6 getting reliable medical control
7 at a given time. Usually it's
8 only due to technology, though,
9 in this state.

10 But pediatric-specific, my
11 understanding of the real goal
12 was whether or not the EMS
13 provider felt that they had
14 someone that they could call who
15 knew more about pediatrics, and
16 the feds weren't going to, at
17 least at this stage, demand what
18 that was.

19 But I think as you're
20 going to see as this comes out
21 and over the next couple of
22 years, they're going to try to
23 better define that push some.
24 What that is is probably going

1 What one individual -- one
2 physician made the point, that I
3 don't agree, that a pediatrician
4 is the person we want on the
5 other end of the phone when
6 somebody's calling for online
7 medical direction at the scene.
8 Pediatricians do not have the
9 emergency medicine experience
10 behind them to answer all the
11 peds calls, so you know --

12 DR. HALPERT: It sounds
13 like the performance measure is
14 asking who has access to online
15 medical control.

16 MR. WRONSKI: If I could
17 just comment. One of the things
18 to always keep in mind is when
19 you have one of these national
20 surveys, they water down
21 typically the survey so that it
22 can be somewhat responsive to
23 the variety of what you find out
24 there clinically. And medical

1 to be in discussion at the
2 national and state levels and
3 what can we do. What could you
4 potentially do?

5 MS. GOHLKE: Okay. So BLS
6 and ALS. We have national and
7 state numbers on the slide. BLS
8 we're at an -- eighty-three
9 percent that feel they have
10 online pediatric medical
11 direction at the scene as
12 compared to the national
13 sixty-nine percent.

14 For ALS, we're again ahead
15 of the national average -- the
16 national number of seventy-one
17 percent and New York State is at
18 eighty-one percent. So as far
19 as how we compare nationally, we
20 look good. We have a higher
21 percentage.

22 Again, the target is to
23 have ninety percent of the
24 agencies have pediatric-specific

1 online medical direction and
2 that's at year 2011. We're
3 above the numbers through 2010.
4 We do have to resurvey again in
5 2010 for a challenge to see if
6 we can bring it up to ninety
7 percent, but let's go a little
8 further in the survey.

9 So, at what level is the
10 online medical direction defined
11 at your EMS agency? Now again,
12 as Ed said, this is a national
13 federal survey, so the language
14 has to be generic so that all
15 states understand. So this is
16 where it gets a little -- where
17 you start looking at the numbers
18 -- you get fuzzy numbers here.

19 So is online medical
20 direction defined at the state
21 level, the region level or local
22 level? And they didn't have
23 that defined as either local
24 hospital or other medical

ALEXI ASSOCIATES 101
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 personnel or other.

2 So at the regional level,
3 New York State feels that it is
4 defined for them -- thirty-eight
5 percent say it's defined for
6 them at the regional level.
7 Fifty-nine percent of New York
8 is in blue. Fifty-nine percent
9 feel that it's defined for them
10 at a local level. And then
11 you've got two percent saying
12 it's done at a state level and
13 then one percent saying other.

14 The question is, you know,
15 again, what -- how are you
16 defining regional and local.
17 You know. Again, is it my
18 regional REMAC that's defining
19 for me or are they local -- are
20 they considered local? So there
21 is some confusion, I think, with
22 the question and how the
23 providers are answering it.

24 DR. HALPERT: Could that

ALEXI ASSOCIATES 102
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 be a function of agencies that
2 maintain their own set of
3 protocols or is that --

4 MS. GOHLKE: I don't know.

5 MR. WRONSKI: Well, the
6 fifty-nine percent would be high
7 for local. I think you have
8 providers who saw their local
9 protocols -- their regional
10 protocols as their local
11 protocols.

12 DR. HALPERT: But I'm
13 talking about ambulance X has
14 its own operating protocols.

15 MR. WRONSKI: Certainly
16 with air med and some of the
17 specialty ambulances, they'll
18 have their own local protocols.
19 And then yes, you're correct,
20 even some BLS agencies may have
21 something that they see as their
22 protocol that was developed by
23 the ambulance service. So, they
24 could also be responding to

ALEXI ASSOCIATES 103
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 that.

2 MS. GOHLKE: Brian,
3 correct me if I'm wrong, but air
4 medical was taken out of the
5 equation.

6 MR. GALLAGHER: Right.

7 MS. GOHLKE: They're not
8 in there. I mean, they were
9 surveyed -- there were a few
10 that were surveyed, but they
11 were taken -- the feds didn't
12 want that information so they
13 were taken out.

14 Okay. So in general what
15 level of training of the
16 individuals that provide your
17 providers with
18 pediatric-specific -- so what is
19 your perception of their level
20 of training?

21 So again, New York State's
22 in blue. Ninety percent said
23 that they were ED physicians
24 that answered the phone. Eleven

ALEXI ASSOCIATES 104
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 percent were PAs. Nine percent
2 were paramedics. Seven percent
3 is nurses and it goes down from
4 there. Don't know is pretty low
5 at four percent. So they all
6 pretty much felt that they were
7 ED physicians, but they could
8 check more than one, I should
9 say. They could check they were
10 ED or physician's assistant or
11 paramedic that answered the
12 phone.

13 DR. HALPERT: Do you think
14 it's significant that people
15 checked off basic EMTs were
16 providing online medical
17 direction, both nationally and
18 statewide?

19 MR. WRONSKI: Nationally,
20 I'm not surprised. Again, there
21 is such a diversity out there
22 and difference in the system.
23 But in New York State, I'd like
24 to know where that is, because I

1 provided by a physician or under
2 the direction of a physician.
3 And the argument is should there
4 ever be a case where online
5 medical control should be under
6 the direction of a physician,
7 not directly by a physician.
8 And there are some systems that
9 use heavily nurses and PAs in
10 their system.

11 DR. KANTER: Every once in
12 a while, you see a statistic
13 that surprises me that is very
14 reassuring. I think the
15 proportion that are accessing
16 emergency physicians is higher
17 than I thought and is a terrific
18 statistic.

19 MR. WRONSKI: Just so you
20 know, New York State having
21 either an ED physician or a
22 physician in medical control.
23 They say ninety percent. I
24 think it's probably close to

1 don't know of any. I think that
2 in New York, any way, when they
3 answered EMT, they probably just
4 meant an EMS -- some EMS
5 certified provider generically,
6 because I don't know of any
7 system that does that. Not
8 medical control. They may have
9 thought -- saw that as the
10 dispatcher who could be an EMT.
11 That's a possibility, but
12 they're not supposed to give
13 medical direction.

14 Where you will find it is
15 nurses. There are nurses, there
16 are PAs in New York that do this
17 and as I'm sure you know, this
18 is a hot topic at the moment at
19 SEMAC for discussion as to
20 whether or not policy should be
21 changed to comply actually with
22 the statute, because the statute
23 says in New York that online
24 medical control shall be

1 that, if not -- is very, very
2 good. The national picture is
3 -- other than a handful of
4 states, is very different. It's
5 very different.

6 MS. GOHLKE: So the next
7 question was if you answered --
8 if they answered physician in
9 the emergency department, do you
10 know if they were a emergency
11 medicine physician, pediatric
12 emergency medicine,
13 pediatrician, family practice
14 physician, don't know, other?

15 So again, look a little
16 better than national as far as
17 emergency medicine physicians.
18 Twenty-six percent, here we go,
19 we don't know, really, who it is
20 that they are on the other end
21 of the phone. Questions or
22 comments on this?

23 Okay. Just some of the
24 comments. I put in quite a few

1 because they were interesting.
 2 So they say, Explain why you
 3 answered never, rarely,
 4 sometimes, or usually. So if
 5 they didn't answer "always,"
 6 they had to answer this question
 7 -- to why -- to the availability
 8 of pediatric-specific online
 9 medical direction.

10 So the most common answer
 11 was communications failure.
 12 They're in a rural service.
 13 They don't have cell phone
 14 service and that's what they use
 15 to call medical control or the
 16 radio interference or whatever.
 17 They couldn't access them for
 18 technological reasons.

19 Okay. So number two, "The
 20 doctors who answered the phone
 21 at online medical control are
 22 generalists and trauma MDs or
 23 PAs and not pediatricians."

24 Number three. "Our

ALEX ASSOCIATES 109
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 regional system for online
 2 medical control does not always
 3 afford the opportunity to speak
 4 with a pediatric specialist."

5 These get less common as
 6 we go along. Number four.
 7 "Occasionally does take an
 8 unreasonable length of time to
 9 contact physician at the
 10 hospital due to a very busy ER.
 11 Sometimes a doctor can take
 12 several minutes to answer a call
 13 for a signal."

14 Number five. "BLS unit
 15 not affiliated with any hospital
 16 or New York City medical
 17 control, so therefore we don't
 18 have online medical direction."

19 Number six. "The person
 20 answering the phone is an EMT,
 21 usually advanced level, who may
 22 not have extensive pediatric
 23 experience."

24 Number seven. "We have

ALEX ASSOCIATES 110
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 never had a pediatric call so
 2 we've never called for online
 3 medical control."

4 So number eight. "We've
 5 never had to contact medical
 6 control for a pediatric call."

7 Number nine. "When an
 8 online MD is requested on rare
 9 occasions, the ambulance is at
 10 the hospital before the contact
 11 is made."

12 Number ten. "In a rural
 13 setting such as ours, we don't
 14 have the 24/7 advantage as a
 15 large urban setting would have."

16 Number eleven. "In my
 17 opinion, most providers are not
 18 always comfortable with
 19 pediatrics and dosages and they
 20 are drastically different for
 21 pediatric patients. This
 22 includes your medical control
 23 operators, not necessarily the
 24 physicians."

ALEX ASSOCIATES 111
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 DR. HALPERT: So for
 2 number ten, can you go back real
 3 quick?

4 MS. GOHLKE: Yes.

5 DR. HALPERT: Does that
 6 mean that there is not
 7 twenty-four hour access to an
 8 emergency department physician?
 9 It's a rural hospital that --

10 MR. WRONSKI: There's a
 11 couple of hospitals that are
 12 staffed in the evenings with PAs
 13 and they are hospitals and they
 14 may be the only available.

15 DR. HALPERT: No, but the
 16 prior survey question said
 17 they're rural and they don't
 18 have online medical control. Is
 19 there anybody out there closing
 20 their doors after --

21 MR. WRONSKI: You mean
 22 medical control?

23 DR. HALPERT: Hospitals.

24 MR. WRONSKI: No. There

ALEX ASSOCIATES 112
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 are no -- to my knowledge, there
2 is no part-time hospital. But
3 the nature of the staffing will
4 change from daytime to
5 nighttime.

6 MS. GOHLKE: Number
7 twelve. "There is only one
8 physician working who has not
9 only the medical control
10 responsibility but also has
11 responsibility for treating an
12 ER full of other patients. He
13 may not be available when we
14 need online medical control."

15 Number thirteen. "I work
16 in a local ER and I know that
17 the majority of the time the
18 unit clerk answers the phone and
19 has no medical training."

20 Number fourteen. "Phones
21 are never answered by a doctor.
22 Sometimes you have to go through
23 two or three people to get to
24 one."

ALEXI ASSOCIATES 113
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 Fifteen. "Go through the
2 emergency department and talk to
3 either a nurse doing triage or a
4 doctor should the nurse there
5 request him to give the medical
6 direction and sometimes when
7 contact is made with medical
8 control. The doctor is only
9 receiving what the dispatcher is
10 telling him and not always in
11 the room where the dispatcher
12 is."

13 Just some interesting
14 comments. And we talked about
15 looking at this at SEMAC and
16 deciding what we're going to do
17 in New York State.

18 But I think Dr. Kanter
19 made a good point. At least
20 it's ninety percent of ED
21 physicians that are -- at least
22 the perception of who's
23 answering the phone. So that's
24 a good case scenario.

ALEXI ASSOCIATES 114
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 DR. COOPER: I think that
2 point does deserve some special
3 comments. We are Emergency
4 Medical Services for Children
5 advisory committee and we are by
6 definition construction and
7 every other way broadly
8 multi-disciplinary of all the
9 providers and agencies that help
10 support emergency medical
11 services for children.

12 And all of our specialties
13 are required to have specific
14 pediatric training as part of
15 the goals and objectives of
16 their residency and none of us
17 can do it without the other.
18 And no one on this committee has
19 or I pray ever will suggest that
20 EMSC belongs to pediatrics.
21 EMSC belongs to all of us
22 together, because we're all here
23 to support emergency medicine
24 online for the critically ill or

ALEXI ASSOCIATES 115
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 injured child.

2 MS. GOHLKE: So the survey
3 then continued about their
4 written protocols or offline
5 written medical direction. So
6 again you got to think of the
7 language of our providers
8 reading these questions.

9 The next one is, has your
10 EMS agency adopted for use
11 written or electronic offline
12 pediatric medical direction?
13 And it says, See above. It did
14 have a definition of what
15 offline medical direction was.
16 So again there was a lot of
17 reading with this survey, a lot
18 of definitions, but it was
19 defined for them if they read it
20 all.

21 So do they have written
22 medical direction? So
23 sixty-four percent said yes at
24 the BLS level, thirty-six

ALEXI ASSOCIATES 116
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 percent said no at a BLS level
2 when you do define it at a state
3 level. So that's a little
4 concerning.

5 ILS, sixty-nine. Still
6 thirty-one percent of
7 intermediate said no. And then
8 ALS was a little bit better.
9 Ninety-two percent said yes, we
10 do have offline pediatric
11 medical direction. Only eight
12 percent said no. You know. I
13 think it was -- my opinion, I
14 think it was just interpretation
15 of the question.

16 What does adoption mean?
17 People were confused. Did my
18 agency adopt specific pediatric
19 protocol? No. Again, how they
20 interpreted the question, I
21 think, clearly played into how
22 they were going to answer. No,
23 thirty-six percent of the time,
24 at least for BLS level, anyway.

ALEX ASSOCIATES 117
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 So the next question.
2 When they have a specific
3 pediatric call and they need
4 off-line medical direction at
5 the scene, are the protocols
6 available in the patient care
7 unit with the terminology? We
8 also had vehicle in there -- or
9 carried by the EMS providers.
10 The "always," eighty percent of
11 BLS said yes, they're always
12 either in the vehicles. BLS --
13 ILS was eighty-eight percent and
14 ALS was ninety-two percent. The
15 feds want to see over ninety
16 percent again for this target.

17 Again, comparing
18 nationally with our numbers, BLS
19 was just slightly lower as far
20 as having written protocols.
21 ALS was a little bit -- one
22 percent higher, eight-four
23 percent. And again, like I
24 said, the target was ninety

ALEX ASSOCIATES 118
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 percent for 2011.

2 So at an ALS level, we're
3 good through 2010, at least as
4 far as how they answered the
5 survey. For the BLS level, you
6 have to do a little figuring out
7 on how to make sure providers
8 know -- first of all, I think
9 there is a question whether or
10 not pediatric protocols, once we
11 know there is the statewide
12 protocols -- or maybe the
13 question could be tweaked a
14 little bit at the national level
15 so the interpretation's better.
16 I don't know. There could be an
17 issue, whether or not they know
18 pediatric protocols are within
19 the adult protocols. I don't
20 know, you know, what our
21 providers feel at a BLS level.

22 Off-line medical direction
23 in general. At the scene of an
24 emergency, do your EMS providers

ALEX ASSOCIATES 119
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 access -- how do your EMS
2 providers access pediatric
3 protocols or guidelines? Are
4 they available in the unit?
5 Eighty-four percent said yes.
6 Are they memorized? Thirty-four
7 percent said yes. Do they carry
8 them him or herself?
9 Thirty-three percent said yes.
10 And again, they could check more
11 than one. You have the national
12 numbers there to compare to.

13 We don't -- we don't
14 mandate that they actually have
15 to be carried, either on the
16 vehicle or on him or herself.
17 We recognize it, but it's not
18 mandated, and that's a glitch on
19 the federal level. They want to
20 see it -- it's supposed to be
21 written somewhere and accessible
22 on the scene.

23 MR. WRONSKI: Is there any
24 comment by the committee? I

ALEX ASSOCIATES 120
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 mean, BLS, I would argue that
 2 you don't have to have that
 3 protocol physically available
 4 with you, yet it's good practice
 5 to carry one in your ambulance.
 6 And I know that from going to
 7 calls in the past, I would refer
 8 to it if I knew where I was
 9 heading and it was likely to be
 10 there. But typically, BLS isn't
 11 so complex that you would
 12 necessarily need the protocol.
 13 But ALS could be different,
 14 particularly with kids. You
 15 might want to remind yourself of
 16 the dosages.

17 DR. KANTER: The American
 18 Heart Association in most
 19 hospitals, certainly the
 20 hospital I work in, has all the
 21 resuscitation algorithms posted
 22 right on the emergency carts
 23 that you can refer to at all
 24 times. You might think you know

ALEXI ASSOCIATES 121
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 them, but in a crisis, it's nice
 2 to glance at the algorithms
 3 right there.

4 DR. COOPER: It's often
 5 said that adrenaline makes you
 6 stupid. And yes, so I agree
 7 with Dr. Kanter completely.

8 MS. GOHLKE: So some
 9 comments here. So if they
 10 answered anything other than
 11 "always," they were asked to
 12 elaborate.

13 Protocols are kept at the
 14 base, but not all EMTs are
 15 trained before they ride on the
 16 ambulance. Interesting.

17 We expect providers to
 18 know protocols, and if there's
 19 any doubt, contact medical
 20 control.

21 Well, we have online
 22 medical control, so that's the
 23 purpose of it.

24 And we carry a copy of all

ALEXI ASSOCIATES 122
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 our protocols in our ambulance
 2 and our BLS first response
 3 truck. However, we also have
 4 some of our EMTs first respond
 5 to emergencies in their personal
 6 vehicles, where I expect they
 7 don't carry protocols. So it
 8 depends on how they're answering
 9 for each vehicle.

10 And they did ask,
 11 especially when you get to the
 12 pediatric equipment, it's any
 13 vehicle that responds to the
 14 scene. So if they have a fire
 15 truck that's doing BLS first
 16 response, that was considered in
 17 the survey. Do you have
 18 protocol on it? Maybe not on
 19 the fire truck.

20 DR. HALPERT: Is there any
 21 feeling by the SEMSCO folks to
 22 try to enforce that kind of a
 23 proposition whereby there is a
 24 mandate, if you will, that a

ALEXI ASSOCIATES 123
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 protocol of some sort, in some
 2 fashion, is on the vehicle and
 3 accessible? That should be a
 4 serious consideration. I have a
 5 feeling about that.

6 MR. WRONSKI: They haven't
 7 expressed it, to my knowledge,
 8 at the meetings I've been at or
 9 had a motion to discuss that. I
 10 think conceptually, they all
 11 support that. It would be good
 12 to have this available.
 13 Mandating it, I know there's not
 14 been a vote to suggest that. If
 15 you did, it would be probably
 16 the only mandate that would be
 17 very easy to meet because you
 18 can download it from the state's
 19 website. It's not -- a few
 20 pieces of paper is all it costs.
 21 But Lee, I'm not wrong on that,
 22 right?

23 DR. HALPERT: Just from
 24 the discussion we've already had

ALEXI ASSOCIATES 124
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 today, we know that poorly
2 managing pediatric-related cases
3 is caused by lack of experience.
4 And their comfort level,
5 therefore, is not built up
6 satisfactorily.

7 Bob's already mentioned
8 having access to protocols or
9 templates -- or guidelines
10 available at the time of the
11 emergency makes the job so much
12 easier. So why ignore that fact
13 and say to our field providers,
14 Listen, you might be great at
15 managing adults and heart
16 failure patients, but you need
17 to have a much better sense of
18 what you're dealing with on a
19 crashing asthmatic or a febrile
20 or a seizing child. And if
21 you're not comfortable with that
22 or whatever your protocol driver
23 is, it's important --

24 DR. COOPER: We did a few

1 years ago provide all ambulances
2 in New York State with a copy of
3 the resuscitation card and the
4 children's special healthcare
5 needs cards. We presume
6 they're, for the most part --
7 but you raise a good point.

8 MR. WRONSKI: Well, the
9 committee, either now or later
10 when it has final comments, can
11 certainly make a recommendation
12 to the SEMAC that they look at
13 language that they might want to
14 direct EMS services to have
15 state or regional protocols
16 available in some fashion at the
17 scene of an emergency. You
18 don't even have to say mandate.
19 You can just say promote. But,
20 I mean, this body can do that.
21 That can go forward to the SEMAC
22 for consideration.

23 MS. GOHLKE: Okay. So
24 just a summary of this one

1 survey, the medical direction
2 survey. So ALS says that
3 eighty-one percent have access
4 to online pediatric medical
5 direction. BLS, eighty-three
6 percent. That's wrong. That
7 number is wrong there. Oh, yes.
8 I was thinking of the protocol.
9 Online, yes. Eighty-three
10 percent BLS. So we exceed the
11 targets through 2010. We'll
12 have to resurvey again in 2010,
13 and again, the goal is to be at
14 ninety percent by 2011.

15 For offline written
16 protocols, ALS said eighty-four
17 percent have access to these
18 written protocols on the scene.
19 Fifty-five percent BLS have
20 protocols on the scene. So for
21 ALS, again, we exceed the 2010
22 federal target. For BLS, we
23 only meet the 2008 target. On
24 both -- we need to get them both

1 up to ninety percent by 2011.

2 Let's skip this for a
3 second and move on to the rest
4 of the survey. We can come back
5 to this.

6 Pediatric equipment. So
7 the survey continues and it
8 asked them about what pediatric
9 equipment they have on the
10 scene. And again, this is any
11 vehicle -- they wanted to know
12 any vehicle that's responding to
13 the scene, not just the
14 transporting ambulance but fire
15 trucks, first response, fly car,
16 whatever. Those were all in the
17 mix here.

18 So about thirty-three
19 states and about six territories
20 were surveyed. Again, New York
21 State is not in the national
22 numbers. Nationally, they had
23 4,100 records to look at. You
24 can see how it's split out

1 nationally versus New York
2 State. And again, New York
3 State was above the average
4 number of EMS agencies that were
5 surveyed.

6 So they asked about the --
7 or the patient care units or
8 vehicles that are responding,
9 ALS or BLS. New York State is
10 about a 50/50 split. Nationally
11 there was more BLS vehicles
12 responding than ALS.

13 They -- we used the 1996
14 ACEP/AAP equipment list. This
15 included adult equipment and
16 child -- adult and adolescent
17 PSA data. All the ranges of
18 equipment. So it wasn't just
19 pediatric equipment. And they
20 had to answer for each vehicle.
21 Do you carry this piece of
22 equipment on your fire truck or
23 whatever it is they're talking
24 about? So it wasn't like an

ALEXI ASSOCIATES 129
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 BLS and ALS. BLS was higher
2 than ALS in New York State for
3 carrying the recommended
4 equipment. And again, you have
5 to remember here that this is
6 fire trucks responding, fly
7 cars. If you didn't carry a
8 backboard because you're a fly
9 car and then the ambulance comes
10 behind you, but you got dinged
11 if your fly car didn't carry a
12 backboard. So again, it's all
13 the vehicles that are
14 responding. A fire truck may
15 not have a backboard on it, so
16 you got dinged. You're off the
17 list if you missed one of those
18 pieces of equipment.

19 Now what's changing is the
20 next time we do this survey, the
21 feds have changed. They're only
22 going to consider transporting
23 vehicles, the patients being
24 transported to the hospital. So

ALEXI ASSOCIATES 131
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 inventory as far as how many
2 pieces of equipment they carry
3 on each vehicle. It's just
4 whether, yes or no, they carry
5 it.

6 So the bottom line, of all
7 the equipment on that list, what
8 number -- what percentage of
9 these do you carry every single
10 piece of equipment? New York
11 State came in a little higher
12 than the national number. We
13 came in at twenty-seven percent
14 versus nationally only twenty
15 percent of the vehicles carry
16 all the recommended pediatric --
17 well, actually, it wasn't all
18 the pediatric, it was all the
19 recommended equipment. Like I
20 said, it included adult
21 equipment, too. And again, the
22 national target needs to be over
23 ninety percent by 2011.

24 It is split out here by
ALEXI ASSOCIATES 130
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 automatically the numbers are
2 going to go up right there
3 because they're taking out the
4 fire trucks and they're taking
5 out the fly cars. So that's a
6 good thing as far as -- whether
7 or not it's intentional, I don't
8 know. But we'll just
9 automatically do better the next
10 time we survey just simply for
11 that reason. And it makes
12 sense. I don't know why they
13 didn't do it the first go
14 around.

15 So BLS equipment most
16 often carried in New York State
17 was like FYI. Why we're not at
18 a hundred percent on some of
19 this -- but like the NRB
20 breather for the adults, the
21 blood pressure cuff for the
22 adults, the BVM for the adults.
23 All these pieces of equipment,
24 you would think that they would

ALEXI ASSOCIATES 132
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 also maybe have on the fire
2 truck as well, but it's not all
3 carried.

4 Just as an FYI, the BVM,
5 they split out the mask for the
6 BVM and the bag for the BVM. So
7 you had the right size mask for
8 the infant and the child and you
9 had the right size bag for the
10 BVM. But because it was split
11 out, that confused people. And
12 a lot of people said, Oh yeah,
13 we have the infant size BVMS but
14 we don't have the smaller size
15 bags to go with it. In fact, a
16 lot of people said, That's out
17 of scope for us. So again, the
18 way the question was asked, it
19 was very confusing. It was
20 defined, but again, it required
21 a lot of reading. So I think
22 that affects some of the
23 numbers, too.

24 The least often carried

ALEXY ASSOCIATES 133
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 was the pediatric backboard.
2 And then they had the different
3 size suction catheters.

4 Pediatric splints was one
5 of the things that was on the
6 list. You had to have a
7 specific pediatric splint. A
8 lot of people said, well, I can
9 modify an adult split. That's
10 why we don't buy them or
11 whatever.

12 Portable suction with a
13 regulator. Portable suction
14 with a regulator, a lot of
15 different size suction
16 catheters, and the pediatric
17 backboard. When the new list
18 comes out very shortly, they're
19 all on an optional part of the
20 list. So again, we'll look
21 better because it's moving from
22 a mandatory part of the list to
23 an optional part. So that will
24 help us.

ALEXY ASSOCIATES 134
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 ALS equipment most often
2 carried. Again, very high but
3 not a hundred percent or
4 ninety-nine percent. NRB
5 breather adult, blood pressure
6 cuff. Again, the BVM issue
7 there with resuscitation bags,
8 oropharyngeal size four and the
9 BVM child.

10 ALS least often carried
11 equipment. Pediatric backboard,
12 oxygen mask infant, ET tube size
13 six -- you know, twenty-five
14 gauge needle. A lot of these
15 sizes -- you know, they required
16 every single size of needles,
17 suction catheters, before. And
18 now, in the new list that's
19 coming out, they're saying you
20 only need two sizes of suction
21 catheters. You don't need the
22 zero through five or whatever
23 the numbers were. So again,
24 that's a good thing. You can

ALEXY ASSOCIATES 135
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 just compare the national
2 numbers. You know.

3 Most often carried
4 equipment, BLS were higher than
5 the national average, on
6 average. And for least often
7 carried, again, we looked better
8 than the rest. ALS most often
9 carried were pretty much on
10 average with what the national
11 numbers are.

12 Least often carried,
13 pediatric stethoscope. My
14 little caveat there. A lot of
15 people, when I called, you know,
16 had to follow up with questions
17 and answers. And they would
18 say, Well, what's a pediatric
19 stethoscope? So it's a double
20 bell pediatric stethoscope. And
21 the answer was yes, but that
22 wasn't in the survey. So a lot
23 of people obviously had that
24 question. If you had a double

ALEXY ASSOCIATES 136
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 bell stethoscope, you were okay
2 with this.

3 I'm currently taking my
4 EMT course and I'm sitting in my
5 lab portion of it and somebody
6 says, What's -- to the lab
7 instructor -- there was two
8 certified lab instructors in my
9 little station here. And
10 somebody asked on a double bell
11 stethoscope, What's the smaller
12 size for? And one lab
13 instructor looks at the other
14 one and says, I don't know, do
15 you? And the other lab
16 instructor goes, No. So I said,
17 I think you can use that for
18 heart in peds. It's
19 recommended. You know, it's a
20 little more sensitive. Oh. So
21 that just goes to show you the
22 ones that are teaching aren't
23 necessarily familiar with the
24 equipment very well either, so

ALEXY ASSOCIATES 137
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 local requirement on a lot of
2 this stuff. The same with the
3 local fire departments. We
4 don't have to carry it; we're
5 not going to carry it.

6 Only eight percent --
7 again, New York State is in blue
8 -- only eight percent said they
9 had limited funded. So money
10 wasn't an issue. Four percent
11 said, well, the pediatric
12 equipment wasn't necessary, at
13 least the ones that they said
14 they don't have. It's used too
15 infrequently to justify the
16 expense. And one percent said,
17 well, it's not reusable.

18 So -- so that's kind of
19 interesting, especially the
20 funding issue. I would have
21 thought it would have been
22 higher. I would have thought
23 you would have heard the cry,
24 Well, we don't have the money,

ALEXY ASSOCIATES 139
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 --
2 MR. WRONSKI: Martha is
3 taking her course in Vermont.

4 MS. BURNS: All of these
5 were done in Vermont because
6 it's still regulatorily (sic)
7 required.

8 MS. GOHLKE: But the good
9 news is it's a pediatric
10 stethoscope and it's now in the
11 optional portion of the new list
12 that's coming out. But it's
13 still regulatorily (sic)
14 required.

15 MS. BURNS: But New York
16 State does require it. Good
17 point.

18 MS. GOHLKE: So that's my
19 little story there for that one.

20 Okay. So now we ask,
21 what's the reason, why don't you
22 carry this stuff? So the number
23 one answer was, in New York
24 State, that there is no state or

ALEXY ASSOCIATES 138
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 but that didn't appear to be
2 really the issue.

3 So I gleaned from it that
4 if we mandate it, they'll do it,
5 at least that's what eighteen
6 percent feel. Looks very
7 similar for ALS answers here,
8 too.

9 So some of the comments.
10 Well, they're not in responder
11 cars due to the ambulance
12 responding with the responder
13 car. So obviously they have all
14 the equipment on the ambulance,
15 not necessarily in the fly cars.
16 Other devices can be used for
17 multiple tasks. Like it says --
18 for example. And the amount of
19 equipment, medication, etcetera
20 are very costly for the amount
21 that is actually used. Most
22 peds calls are fracture in
23 nature. So they were all pretty
24 similar in their comments like

ALEXY ASSOCIATES 140
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 that.
 2 Just -- this was just a
 3 little interesting, who answered
 4 that the equipment was out of
 5 scope for them. So they could
 6 have answered, "we have it", "we
 7 don't have it" and "it's out of
 8 scope for us, we can't use it."
 9 So six percent of the
 10 patient care units, when they
 11 responded, said it was out of
 12 scope for us to use an oxygen
 13 mask for an adult. It's out of
 14 scope for us to use a portable
 15 suction unit with a regulator
 16 and it's out of scope for us to
 17 use a one-size suction catheter.
 18 Just kind of interesting
 19 answers. Four percent said it
 20 is out of scope for them to use
 21 a BP cuff for an adult. And
 22 then here's the bag part of the
 23 BVM. A lot of people said, you
 24 know, that's out of scope for

ALEXI ASSOCIATES 141
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 us. Pediatric backboard's out,
 2 cervical collar for an adult,
 3 and bulb syringe. You know.
 4 MS. CHIUMENTO: Martha, I
 5 wonder if a lot of those are
 6 CFRs, because CFR protocols are
 7 not specifically --
 8 MS. GOHLKE: We didn't
 9 survey them.
 10 MS. CHIUMENTO: That's
 11 what I'm thinking, particularly
 12 blood pressure cuff. And for a
 13 while we said that wasn't part
 14 of the curriculum and the
 15 backboard -- and you don't do
 16 backboard, you only do
 17 mobilization. So I suspect
 18 that's where a lot of those are.
 19 MS. BURNS: They surveyed
 20 ambulance services, not the BLS
 21 first responders.
 22 MS. GOHLKE: We didn't do
 23 the BLS first responders.
 24 MR. CZPRANSKI: In many

ALEXI ASSOCIATES 142
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 parts of our area, you have the
 2 fire departments that run the
 3 ambulance service and the chief
 4 responds in the chief's vehicle,
 5 who is a CFR.
 6 So again, if you're going
 7 back and saying to look at the
 8 responding vehicles, that's
 9 where I'd look a the gap in the
 10 ninety-five percent. A lot of
 11 time it was a vehicle that
 12 wasn't an ALS fly car.
 13 MS. BURNS: Aren't you
 14 optimistic?
 15 MS. GOHLKE: Okay. So
 16 twenty-seven percent -- as far
 17 as having to look at the feds,
 18 twenty-seven percent of the
 19 agencies report carrying the
 20 recommended equipment. We fall
 21 short of all the targets, but we
 22 look better than the national
 23 number, which is twenty percent.
 24 But we still have to reach

ALEXI ASSOCIATES 143
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 ninety percent by 2011.
 2 MS. BURNS: Get to work.
 3 MS. GOHLKE: The new list
 4 is coming out. They're
 5 presenting it to the grantees in
 6 three weeks, I think. I did get
 7 a peek at the new list, the
 8 language. I mentioned where
 9 some of the things were moved to
 10 optional.
 11 DR. COOPER: Has not
 12 changed.
 13 MS. GOHLKE: So they had
 14 more of the national committees
 15 signing off on it this time
 16 around, ACEP and ACS, but you
 17 got the national association of
 18 EMS physician and then the
 19 federal EMS stakeholder's group.
 20 One of the questions I
 21 had, were there any paramedics
 22 involved in the new list? And
 23 the answer is yes. It wasn't
 24 all physicians who were making

ALEXI ASSOCIATES 144
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 up this equipment list number.
2 There were EMS providers
3 involved in the new equipment
4 list.

5 So the piece of equipment
6 that might be an issue for us.
7 We don't say that the portable
8 suction has to have a regulator
9 and it's still not on the
10 mandatory list. We say, well,
11 the new list says you have to
12 have two pharyngeal suction
13 tips, one between each of these
14 sizes. We don't necessarily say
15 they have to be between those
16 sizes.

17 The valveless oxygen masks
18 for adult and child is still on
19 the list. And we say that for
20 adults you're to use the NRB
21 breather. We're going to fall
22 short there. The NPA sizes,
23 eighteen to thirty-four is on
24 the list. We don't even have

ALEX ASSOCIATES 145
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 NPAs on our list.

2 Alternative airway devices
3 is not on our list. The pulse
4 ox with pediatric and adult
5 probes, no, we don't have that
6 on our list.

7 Tourniquets are on there.
8 Thermometer with the low
9 temperature capability in a
10 hypothermia issue might be a
11 problem for us if we don't have
12 those low temp monitors there.

13 And believe it or not,
14 umbilical tape is on the list
15 there and we don't have it on
16 our list for the OB kit. So
17 those are some of the pieces of
18 equipment for BLS that might be
19 a problem next go around.

20 For ALS, they have
21 laryngoscope blades. You have
22 to have one between each of
23 those sizes. Actually, all
24 those sizes you have to have on

ALEX ASSOCIATES 146
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 the list.

2 ET tubes, those sizes have
3 to be there. We don't mandate
4 this on our list. End tidal CO₂
5 capnography is on there.
6 Tourniquets again. Now a 3.25
7 inch needle for chest
8 decompressions there. And the
9 transcutaneous cardiac pacemaker
10 adult and peds pads is on there.
11 It looks like it may cause a
12 problem for us.

13 Optional equipment. Like
14 I said, oxygen masks -- the
15 infant size was moved to the
16 optional side. The infant bag
17 was moved to the optional side,
18 size 00 NPA. Infant and
19 neonatal BP cuff is moved to the
20 optional. Pediatric
21 stethoscope, infant CV collar
22 and pediatric backboard were all
23 moved to optional, so that will
24 help us.

ALEX ASSOCIATES 147
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 MS. CHIUMENTO: What
2 happened to the NG tube, the
3 nasogastric tube? Did they stay
4 on?

5 MS. GOHLKE: They only
6 stayed on if they -- if somebody
7 marked it as out of scope, then
8 they weren't included in there.
9 So for a lot of our providers,
10 it is out of scope for them. So
11 they weren't included and they
12 weren't dinged if it was out of
13 scope.

14 MS. CHIUMENTO: Because I
15 remember that was the one piece
16 of all the pieces that was the
17 most often for ALS.

18 MS. GOHLKE: Was that on
19 there?

20 MS. CHIUMENTO: It was,
21 but I didn't see it on your
22 presentation.

23 MS. GOHLKE: Actually, I
24 think I talked to Brian about

ALEX ASSOCIATES 148
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 this at a later point after you
2 saw the original presentation
3 and we talked about pulling it
4 out because they marked it out
5 of scope. So that's probably
6 changes you saw in the
7 presentation.

8 MS. CHIUMENTO: I remember
9 that before you did the original
10 survey. That was the number one
11 thing --

12 MS. GOHLKE: Could be.
13 Honestly, I did not go back as
14 far as Gloria's surveys, to be
15 honest. I had enough number
16 crunching to do with my own
17 survey. I have not even looked
18 at what Gloria --

19 MS. CHIUMENTO: That was
20 the one thing, but most of the
21 people did say it was out of
22 scope.

23 MS. GOHLKE: And it's
24 probably -- I don't know the

ALEX ASSOCIATES 149
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 difference when they surveyed
2 back then. They probably added
3 that question about out of
4 scope. They probably didn't ask
5 that before. I'm just guessing.
6 But they keep refining,
7 obviously, the survey, so that
8 could be one issue with that.

9 DR. HALPERT: So Martha,
10 you keep referring to providers
11 answering the survey questions.
12 That's the provider's perception
13 of their scope of practice?

14 MS. GOHLKE: I would
15 assume so.

16 DR. HALPERT: Define
17 "scope of practice."

18 MS. GOHLKE: Only NG
19 tubes, as far as I know, are the
20 only thing that would
21 legitimately be out of scope
22 according to the pediatric list.
23 What else?

24 DR. HALPERT: They're not

ALEX ASSOCIATES 150
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 out of scope.

2 MS. GOHLKE: Which ones?

3 DR. HALPERT: NG tubes are
4 not out of scope.

5 MS. GOHLKE: For some of
6 them, regionally, I believe they
7 are.

8 MS. CHIUMENTO: Yes.

9 MS. BURNS: There is a
10 difference between out of scope
11 and what is not included in
12 their regional protocol, because
13 NG tubes are in the curriculum.

14 DR. HALPERT: Right.
15 That's what I'm using in my
16 scope.

17 MS. GOHLKE: Are they
18 allowed to use it or not, would
19 be a better question.

20 DR. HALPERT: Are they
21 able to train docs? The answer
22 is yes. Can they use it as a
23 function of --

24 MS. GOHLKE: In many

ALEX ASSOCIATES 151
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 cases, no.

2 DR. HALPERT: So I'm
3 saying it's in the curriculum,
4 it's not in the scope of
5 practice.

6 MS. GOHLKE: Right.
7 Again, it could be
8 interpretation.

9 DR. HALPERT: It's
10 providers' interpretation of the
11 scope of practice.

12 MS. GOHLKE: Right.

13 MS. BURNS: It is their
14 interpretation. They don't need
15 NRB breather masks, too.

16 DR. HALPERT: Right.

17 MS. GOHLKE: Surveys are
18 always interesting. So anyway
19 -- so the new list is coming
20 out, like I said, shortly. You
21 know, at a state level, we have
22 to decide how to -- what we're
23 going to do with this list, you
24 know, if and how we distribute

ALEX ASSOCIATES 152
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 it.
 2 Does It require any
 3 changes to our list, our
 4 regulation, our part 800? Like
 5 I said we do need to resurvey in
 6 2010. We probably will do an
 7 online survey, although there
 8 were issues -- there was an
 9 issue, though, with the paper
 10 and online. I actually think,
 11 in this case, the paper worked a
 12 little better, because in the
 13 online if you answered that
 14 anything -- the default -- they
 15 first asked you, Was any of this
 16 equipment out of scope for you?
 17 And you had to click yes or no.
 18 And it was defaulted to no. So
 19 nothing was out of scope for
 20 them. They had to move to the
 21 yes column if it was out of
 22 scope. If you misread that, a
 23 lot of people said yes we use
 24 all of this equipment, which got

ALEXI ASSOCIATES 153
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 then marked as out of scope. So
 2 if you did that, they didn't ask
 3 you any of those equipment
 4 questions. You got to skip over
 5 the survey in the online one.
 6 But in the paper one, you still
 7 filled out the questions, so you
 8 could change your answer and go
 9 back.

10 So anyway, again, if we
 11 are going to do this online or
 12 not, how we can refine that for
 13 our needs. We also have the
 14 option of doing the ambulance --
 15 you know, doing the pediatric
 16 equipment list survey by
 17 ambulance inspection or maybe
 18 through doing the survey when
 19 you recertify services every
 20 other year is another option on
 21 how to handle the survey. Just
 22 food for thought for how to do
 23 for 2010. Comments?

24 DR. COOPER: Well, I have

ALEXI ASSOCIATES 154
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 a comment.
 2 MS. GOHLKE: Yes.
 3 DR. COOPER: The EMSC
 4 stakeholders are meeting in
 5 Washington on Thursday and
 6 Friday, and unless something
 7 radical is done, no one is going
 8 to make this benchmark guideline
 9 by 2011.
 10 And the way the survey is
 11 constructed, of course, if you
 12 miss one of those pieces of
 13 equipment, you become part of
 14 the seventy-three percent as
 15 opposed to twenty-three percent,
 16 even though you may have
 17 virtually everything you need to
 18 resuscitate ninety-nine percent
 19 of kids.

20 So I think there does need
 21 to be some additional thought at
 22 the federal level as to how the
 23 survey is going to be
 24 administered and scored. But

ALEXI ASSOCIATES 155
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 beyond that, as you say, it's
 2 very interesting.

3 Gloria had conducted a
 4 survey quite similar to this a
 5 few years ago. I think we're
 6 doing a little better this time
 7 are around, as I recall. The
 8 one area where we continue to be
 9 especially weak is with
 10 nasogastric tubes. But the --

11 COURT REPORTER: I'm
 12 having a hard time hearing you.

13 DR. COOPER: Nasogastric
 14 tubes. I'm sorry. For some
 15 reason, this microphone is not
 16 the healthiest.

17 The -- but there has
 18 always been a problem with the
 19 use of nasogastric tubes, mainly
 20 that the training models that
 21 are out there are essentially
 22 non-existent, and the teaching
 23 about gastric distention and
 24 when to be compressed is quite

ALEXI ASSOCIATES 156
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 important. And -- or, I'm
2 sorry. Even though quite
3 important is quite problematic.

4 I mention this because one
5 of the least cited, yet most
6 important in my judgment,
7 findings of Marianne
8 Gausche's study regarding
9 intubation was the twenty-eight
10 percent incident of gastric
11 distention in the children who
12 were bagged as opposed to tubed.

13 Now, we all know that
14 excessive gastric distention can
15 limit the efficacy -- well, I
16 shouldn't say efficacy -- the
17 effectiveness of bag and mask
18 ventilation. And so it would
19 seem that -- that having the
20 ability to decompress a
21 distended stomach would be an
22 important issue and that
23 training models would have been
24 developed to deal with that

ALEX ASSOCIATES 157
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 issue. But they have not as yet
2 been, which is really, to my
3 mind, very interesting.

4 DR. KANTER: I think the
5 other thing that's missing is
6 evidence about what the proper
7 sequence is to do things in.
8 But for what it's worth, the
9 American Heart Association
10 pediatric advanced life support
11 guidelines acknowledges that
12 it's not completely clearcut
13 whether you should intubate
14 first or decompress the stomach
15 first.

16 And for those systems that
17 are not going right to
18 prehospital intubation, the
19 other question is, what's the
20 best way to bag them and
21 ventilate them, with or without
22 a nasal or oral gastric tube in.
23 It's harder to get a seal with a
24 tube in.

ALEX ASSOCIATES 158
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 So I'm not sure that they
2 ideally know, based on evidence,
3 what's the best way to go.

4 DR. COOPER: I certainly
5 agree with you in terms of the
6 -- in terms of the timing. One
7 thing upon which we can all
8 agree, however, is that if you
9 do, in the course of bag or mask
10 ventilation, involve diffusing
11 the distended stomach, that at
12 some point whether the tube
13 stays in or is replaced or
14 removed, decompressing that
15 stomach will certainly assist in
16 adequate ventilation with bag or
17 mask ventilation. So this is an
18 unsolved problem for many
19 different aspects, but one that
20 I continue to feel is one that
21 requires our continued thought.

22 MR. WRONSKI: Can I just
23 ask, because I don't know, how
24 do you reverse that, the

ALEX ASSOCIATES 159
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 stomach, the distended stomach?
2 How do you reverse the effects
3 of the distended stomach?

4 DR. COOPER: You
5 decompress it with a tube.

6 MR. WRONSKI: With a tube?

7 DR. COOPER: Yes. You
8 pass a tube into the stomach.

9 MS. ROGERS: As far as the
10 survey goes and resurveying,
11 what measures other than the
12 equipment list changing are
13 there proposed to improve our
14 responses in the state to these
15 questions?

16 MS. GOHLKE: That's what
17 we need to decide.

18 MS. ROGERS: Pardon?

19 MS. GOHLKE: That's a good
20 question. That's what we need
21 to talk about.

22 DR. COOPER: You know, I
23 think it's a very hard question
24 to answer -- in fact, almost

ALEX ASSOCIATES 160
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 impossible question to answer,
2 because with the institution of
3 the new list, it is going to be
4 very, very hard to have a
5 baseline to really know where
6 people are starting from. And I
7 think this raises a very
8 important question for us and I
9 will plan to raise this in
10 Washington on Thursday.

11 If we are instituting a
12 new list, perhaps we need a
13 baseline round before we, you
14 know, ask people to meet new
15 targets, because it's going to
16 be very difficult, I think, to
17 really know where we're going
18 unless we know where we are.

19 MS. WALDEN: Martha, do
20 you --

21 COURT REPORTER: Please
22 use the microphone.

23 MS. WALDEN: I'm sorry.
24 Do you have any proposals to add

ALEX ASSOCIATES 161
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 new national questions?

2 MS. GOHLKE: I haven't
3 seen the survey questions yet.
4 We were allowed to tailor the
5 questions to meet our needs in
6 the state. We'll do a better
7 job tailoring next time. I
8 don't know the extent of how
9 much we'll be able to change or
10 change it a lot, more than one
11 or two words.

12 I'll be honest. When I
13 came on board, there was a real
14 rush to get this done because
15 New York was behind the eight
16 ball and we did -- we did look
17 at the questions and we did
18 tweak a few things. But now
19 that I'm more aware of this
20 world and the issues behind it,
21 it will be tailored a little bit
22 better next go around and
23 hopefully get the answers that
24 we need as far as whether

ALEX ASSOCIATES 162
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 they'll allow us to revise the
2 questions without changing what
3 the national question is. So
4 those -- it could be better.

5 MR. WRONSKI: What I've
6 asked Martha to do, too, is at
7 the national meeting -- and
8 stake out some of the issues we
9 have about the survey and the
10 perceptions of providers and
11 etcetera and that -- you know,
12 the people who put the survey
13 together have to rethink how
14 they phrase some of these
15 questions or even what
16 questions, but certainly to put
17 that on the table there.

18 One other thing I'd like
19 to mention is, you know, for us,
20 Martha had said what's the way
21 to do this. Do we do another
22 survey? Do we do inspections?
23 How do we do this? The best way
24 and the most accurate way to

ALEX ASSOCIATES 163
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 determine what equipment is
2 being carried is through the
3 inspection process. But that's
4 a cumbersome process.

5 Right now, it takes us
6 somewhere between four to five
7 years before we had gone through
8 every ambulance service at least
9 once to determine their
10 equipment. Now, could we do
11 that quicker? We could, but it
12 means stopping other things that
13 we do. We could increase it
14 probably without doing any
15 severe damage to what we do in
16 the region to something in the
17 area of three years. But that's
18 three years. And they don't
19 usually want to wait three years
20 for the survey results.

21 But keep that in mind. We
22 would be able to do a survey of
23 a few hundred services in any
24 given year and have that

ALEX ASSOCIATES 164
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 sampling. So if the sampling
2 were accepted -- I think,
3 actually, it was accepted even
4 for the mailing, right? It
5 wasn't all the ambulance
6 services that were surveyed.

7 MS. GOHLKE: Correct.
8 Right.

9 MR. WRONSKI: So if it was
10 a reasonable number, say 300,
11 350, 400 ambulance services,
12 we'd have a better possibility
13 of doing the survey.

14 And the other possibility
15 is we could go to our regions
16 and ask them to assist in the
17 survey if we wanted to have a
18 more accurate survey and do it
19 within a year's time.

20 MR. CZAPRANSKI: I have a
21 couple questions. You had
22 mentioned on the one slide that
23 new questions were going to
24 pertain to just

ALEXI ASSOCIATES 165
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 transport-capable ambulances.

2 MS. GOHLKE: Right.

3 MR. CZAPRANSKI: Is that
4 going to be for all the
5 equipment questions?

6 MS. GOHLKE: Yes.

7 MR. CZAPRANSKI: The only
8 concern I have with that are the
9 national standards for advanced
10 life support are eight minutes
11 and fifty-nine seconds, ninety
12 percent of the time, and that's
13 predicated upon a -- response
14 system. And so if we're not
15 measuring their capabilities to
16 treat the patients when they get
17 on the scene, it would be a
18 concern.

19 MS. GOHLKE: You're the
20 first person that has expressed
21 that. Good point.

22 DR. COOPER: Okay. Well,
23 first I think we owe a
24 tremendous debt of gratitude to

ALEXI ASSOCIATES 166
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 Martha and her colleagues for
2 doing this.

3 MS. GOHLKE: Brian.

4 DR. COOPER: And of course
5 we're very happy for Martha,
6 too, because this gave her sort
7 of a baptism by fire coming on
8 the job when she did with an
9 undone project laid in her lap.
10 But at the very least, we
11 clarified the issue about the
12 double bell stethoscope.

13 MS. GOHLKE: At least for
14 me and about four other people.

15 DR. KANTER: I wonder --
16 just listening to all the
17 information here, it strikes me
18 that the one important
19 inexpensive feasible improvement
20 opportunity is the written
21 protocols to be carried with the
22 responders. I wonder if this
23 committee could make some
24 statement that would favor that.

ALEXI ASSOCIATES 167
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 DR. COOPER: Bob, I'm
2 going to take that as a motion.
3 Is there a second to that
4 motion?

5 DR. HALPERT: Second.

6 MS. BRILLHART: Second.

7 DR. COOPER: Multiple
8 seconds. Thank you Susan and
9 Jon. Discussion?

10 DR. HALPERT: I think the
11 real upside to this, frankly, is
12 that by saying, you know, we're
13 the EMSC committee. We require
14 a mandate -- you all keep these
15 protocols near and dear, tucked
16 away someplace accessible in the
17 unit. But the reality here is
18 that the pediatric aspects of
19 the protocols is a good deal
20 more often, which means you're
21 going to keep the entire
22 protocol -- so you're going to
23 get a better bang for your buck,
24 which is important.

ALEXI ASSOCIATES 168
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 MR. CZAPRANSKI: The point
2 of the question is that the
3 protocols refer to both local
4 and state issues.

5 DR. HALPERT: Well,
6 because the local protocols are
7 endorsed by the state, correct?

8 MR. WRONSKI: Yes. All
9 regional protocols need to be
10 approved by the state.

11 MR. CZAPRANSKI: Well, if
12 I'm an ambulance, I download the
13 state protocols but I don't
14 download my local protocols --
15 regional protocols.

16 DR. COOPER: I think -- I
17 take the sense of the motion to
18 be that all relevant protocols
19 should be available on the unit.

20 MS. BURNS: One of the
21 things that -- I mean, I have
22 these conversations with many of
23 our local physicians. As a
24 paramedic, it is getting -- I'm

ALEX ASSOCIATES 169
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 not getting any younger, either,
2 but it's getting increasingly
3 more difficult to really
4 memorize the protocols when the
5 book shows up and it's an inch
6 thick and tiny little print.

7 And what -- in
8 conversations at the local level
9 that I've had with providers, we
10 are encouraging the providers to
11 carry the protocol. They
12 shouldn't -- it's impossible for
13 them to memorize the protocols.
14 And considering the numbers of
15 pediatric patients that they're
16 treating, it's even more
17 frightening.

18 So to endorse downloading
19 the state protocols and making
20 them a smaller whatever so you
21 can stick them in your pocket or
22 saying that they should be on
23 the vehicle is hugely
24 constructive.

ALEX ASSOCIATES 170
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 I think our environment --
2 as Dr. Cooper said, adrenaline
3 makes you stupid. It does. And
4 we're seeing an increasing
5 number of protocol violation
6 issues that have not -- the end
7 result has not been patient
8 harm, but it could be and we're
9 dodging a bullet here.

10 DR. HALPERT: I would echo
11 your sentiment entirely. In the
12 old days -- back in the day, I
13 was hardcore in terms of you
14 must know your protocols inside
15 out. It's your job. But the
16 reality is as time goes on, it
17 is hard to do that. Now whether
18 or not that translates to less
19 protocol violations, I don't
20 know, because people who tend to
21 have issues in following
22 protocol will probably tend to
23 do that whether or not the book
24 is in the back of the truck or

ALEX ASSOCIATES 171
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 not.
2 MS. BURNS: Perhaps, but
3 when you add Solu-Cortef to the
4 drug box -- and I saw in a
5 catalog today you can now get a
6 drug -- ALS drug boxes with
7 wheels on it. You know. Again,
8 as I'm not getting any younger,
9 I'm thinking that's a really
10 good idea.

11 DR. KANTER: It's really a
12 question of what is the best
13 practice. The best practice is
14 you know your protocols and you
15 also have a written reference.

16 DR. HALPERT: Absolutely.

17 MR. WRONSKI: I think the
18 message is, it's not
19 unreasonable to ask that this
20 piece of material, these
21 documents, be on the unit.

22 MS. BURNS: And supported
23 by policy.

24 MR. WRONSKI: And we'll

ALEX ASSOCIATES 172
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 support it by policy, as well,
 2 but I think it's good to bring
 3 it to a formal body and say
 4 this. Because all we're asking
 5 providers to do is -- your --
 6 everyday you go to an EMS call
 7 being asked to do something in a
 8 fairly rapid situation. Often
 9 that materializes quickly, as
 10 you didn't really know what you
 11 were walking into in a
 12 percentage of these cases. So
 13 why not have a document
 14 available that might give you a
 15 hand to do the job better and
 16 remind providers that they
 17 shouldn't be ashamed to look at
 18 it.

19 You know, this isn't an
 20 ego thing, and they can get over
 21 that. So I think those messages
 22 need to be out there. Make it
 23 available if you need it and
 24 don't be ashamed to look at it,

ALEXI ASSOCIATES 173
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 the perception that they
 2 can't -- they're not the ones to
 3 call. Hopefully that will
 4 change. But it was scary when
 5 my own service said that.

6 DR. HALPERT: You know,
 7 converse is they should not feel
 8 intimidated to the point that
 9 they don't do it. And we had it
 10 happen -- you know, you're
 11 right, where a basic EMT has
 12 gotten on the telephone and
 13 says, I'm not sure what to do
 14 regarding this patient. You
 15 know. That's reasonable.

16 MS. GOHLKE: Absolutely.

17 DR. HALPERT: And working
 18 from the ED side, you have to
 19 explain to your colleagues why
 20 the heck they're getting a call
 21 from someone who --

22 MS. GOHLKE: Right.

23 DR. HALPERT: But it will
 24 happen and we should grow with

ALEXI ASSOCIATES 175
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 'cause we all have to remind
 2 ourselves what it says
 3 sometimes.

4 MS. GOHLKE: The other
 5 thing that I forgot to mention
 6 from the online medical
 7 direction part was there are
 8 some BLS providers that, when I
 9 talked to them, didn't realize
 10 they could call medical control.
 11 They just thought it had to be
 12 an ALS provider. So that could
 13 be why they never called,
 14 because they don't think they
 15 can.

16 And even in my own service
 17 that I ride on, there was a
 18 comment made to me during one of
 19 the trainings that we would
 20 never call them. That's the
 21 ALS. When they come, they would
 22 call. And sometimes that would
 23 take quite a while for ALS to
 24 get there. So there is possibly

ALEXI ASSOCIATES 174
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 it.

2 DR. COOPER: I sense that
 3 there's quite a bit of unanimity
 4 on this point, so since we have
 5 a full agenda ahead of us, I'll
 6 call for a vote. All in favor
 7 of the motion to recommend to
 8 the SEMAC that the pediatric
 9 protocols, together with the
 10 adult protocols, both state and
 11 regional, form, in effect, a key
 12 resource that should be
 13 physically available on every
 14 ambulance and fly car in the
 15 State of New York? Please raise
 16 your hand. All opposed? Okay.
 17 Without dissent, the motion is
 18 carried. Okay. Martha, thank
 19 you again for that terrific job.
 20 Okay.

21 We're going to move now
 22 into sub-committee progress
 23 reports. Sharon, do you want to
 24 give the report for your group.

ALEXI ASSOCIATES 176
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 MS. CHIUMENTO: Okay. We
 2 started off a couple of weeks
 3 ago -- I talked to Bob about
 4 getting together some resources
 5 for us to start looking at and
 6 planning for where we were going
 7 to go with the interfacility
 8 transport ideas. And we had a
 9 very productive conference call
 10 last week where we were able to
 11 come up with what our direction
 12 was.

13 Unfortunately, a lot of
 14 members were not able to be here
 15 today. We were going to advance
 16 a little bit more on those ideas
 17 today. However, Bob and I have
 18 had -- kind of had some
 19 discussion and come up with a
 20 plan of action.

21 Our primary thing is the
 22 stakeholder's meeting that was
 23 mentioned earlier. It kind of
 24 talked a little bit about some

1 of the goals we needed to meet
 2 and some of the general
 3 classifications because we won't
 4 have any insight into who might
 5 be invited directly into the
 6 stakeholder's meeting, but at
 7 least general classifications
 8 that we might recommend.

9 So, you know, not only the
 10 pediatric hospitals but the
 11 outlying hospitals and payers
 12 and a lot of other people that
 13 would really have some
 14 involvement in what we're
 15 talking about. So that was one
 16 thing we discussed.

17 The second thing we
 18 discussed was developing a set
 19 of draft guidelines to make
 20 recommendations to hospitals
 21 that are doing interfacility
 22 transports, the kind of things
 23 that they would need to have in
 24 place in order to do that. So

1 should they have a checkoff list
 2 and what would be on that
 3 checkoff list. There are
 4 fortunately several states that
 5 are ahead of us on this
 6 particular endeavor, so Bob is
 7 going to be working on drafting
 8 a set of guidelines for New York
 9 State. They'll be on what other
 10 states have done and then the
 11 committee will then look at that
 12 and say, Are there things that
 13 you may want to modify.

14 One of the things that
 15 Ruth came up with earlier today
 16 that we've not seen in other
 17 state guidelines is something
 18 related to the family component
 19 and what happens to the family
 20 and how does the family get to
 21 the hospital the child's being
 22 transported to. Information.
 23 What information do they need to
 24 have? So that's one of the

1 things we might add to ours
 2 that's not been in previous
 3 guidelines that are out there.

4 And then the last thing is
 5 that we wanted to discuss having
 6 a meeting with some Department
 7 of Health representatives -- and
 8 I know Martha did a little bit
 9 of work in relationship to this
 10 to discuss the importance of
 11 developing what some guidelines
 12 are and developing maybe some
 13 guidelines as to which hospitals
 14 are most capable of taking care
 15 of certain kinds of patients.
 16 And then some of that will go
 17 along with even the deliverable,
 18 which really has to have a
 19 written guideline.

20 So those are kind of the
 21 directions we're working towards
 22 and I don't know if you want to
 23 add a little bit more here.

24 DR. KANTER: Just a couple

1 of words. The issue is trying
 2 to get the right patients to the
 3 right hospital at the right
 4 time. And so we need some
 5 criteria for which types of
 6 patients should be sent to a
 7 pediatric-capable hospital,
 8 which hospitals are the
 9 pediatric-capable hospitals and
 10 which ones should be thinking
 11 about transferring a patient
 12 away to a higher level of care
 13 and how to do it in a timely
 14 way.

15 This involves all the
 16 details in the process. The
 17 good news is there's a lot of
 18 information out there based on
 19 experience, evidence and
 20 precedence in other states and
 21 precedence in New York State for
 22 other types of specialty
 23 high-risk services.

24 The real help that we need

ALEX ASSOCIATES 181
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 is after we put together a draft
 2 guideline or draft set of
 3 guidelines is to have some help
 4 from the Department on how do we
 5 really take the next step to
 6 develop a consensus among the
 7 stakeholders. And the
 8 stakeholders are the usual list
 9 of providers at every level,
 10 institutions, hospitals, EMS
 11 agencies, payers, families,
 12 regulators, everyone who has to
 13 make this happen and has to make
 14 all the work that we all do with
 15 a major new set of regulations.
 16 How do we take the next step to
 17 work toward this?

18 DR. COOPER: I think that
 19 we did receive some
 20 encouragement from the
 21 Department in the past several
 22 months about the issue that Dr.
 23 Kanter is speaking of,
 24 specifically the statements made

ALEX ASSOCIATES 182
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 by both Patsy Jones, when she
 2 was still with the department,
 3 and John Morley in subsequent
 4 meetings, who is, as all of you
 5 know, the medical director for
 6 the office of health systems
 7 management within the
 8 Department.

9 Now it came to my
 10 attention not too long ago that
 11 Ms. Jones left her position at
 12 the Department --and of course,
 13 I think, within the past few
 14 months. And, of course, as all
 15 know, the state has faced
 16 unprecedented challenges in
 17 terms of the economic situation
 18 that the state and nation finds
 19 itself in at the moment. And I
 20 suspect that many of these
 21 things that have conspired to
 22 put the issue of the view of the
 23 pediatric regulations that Ms.
 24 Jones and Dr. Morley were

ALEX ASSOCIATES 183
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 championing a little bit toward
 2 the back burner.

3 But I think Dr. Kanter's
 4 point is right, that unless they
 5 are moved to the front burner at
 6 some point and in the relatively
 7 near future that our
 8 regionalization efforts will be
 9 difficult to accomplish.

10 So I guess the best thing
 11 to do is since we are an
 12 advisory committee to the
 13 Department is to ask Mr.
 14 Wronski if he could purse this
 15 issue internally and ask through
 16 the appropriate channels what
 17 our committee can do to
 18 facilitate this process.

19 We may not as a committee
 20 be ideally constituted to review
 21 all the regulations that are
 22 involved and assist the
 23 Department in that way, but
 24 there is at least a committee

ALEX ASSOCIATES 184
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 that's in place and already
 2 meeting that could perhaps
 3 assist the Department in taking
 4 on some of that responsibility
 5 as a prelude to making a more
 6 formal recommendation to some
 7 other part of the Department in
 8 much the way that the working
 9 group on trauma regulations has
 10 proposed regulations or will be
 11 proposing regulations to the
 12 STAC in terms of the State
 13 Hospital Review and Planning
 14 Council.

15 Certainly our committee
 16 already has quite a bit of work
 17 product on its plate to be
 18 completed within the next couple
 19 months, but once again, it's
 20 difficult to imagine how we
 21 could get the regionalization
 22 agenda completed without some
 23 degree of, if not
 24 standardization, at least

1 and shared with the Department.

2 The -- what I've been told
 3 is that, one, the Commissioner
 4 sends his thanks and appreciates
 5 the paper and did discuss it
 6 with Dr. Morley. The -- more
 7 specifically, the Commissioner
 8 is very interested and I
 9 underline "very" because Dr.
 10 Morley did, very interested in
 11 understanding this issue better
 12 and getting more information as
 13 we move along about the issue of
 14 regionalization and requests
 15 items that you outlined in white
 16 paper. And so that's very
 17 positive because he's
 18 open-minded to this and does
 19 want to learn more.

20 Secondly, we did get a
 21 green light that if we feel that
 22 the best avenue to take the next
 23 step is a stakeholder's meeting
 24 that we can do that.

1 categorization of what
 2 facilities are out there and
 3 what types of facilities might
 4 best suit the needs of
 5 critically ill and injured
 6 children.

7 MR. WRONSKI: It's my turn
 8 to respond. And I can't give
 9 you a complete response but what
 10 I can tell you is conversations
 11 I've had on two occasions, one
 12 just this morning with Dr.
 13 Morley. Because one thing that
 14 thirty-five years in state
 15 service has told me is get your
 16 facts straight before you say it
 17 at a public meeting, because
 18 you're stuck with whatever you
 19 say.

20 So, first, Dr. Morley, as
 21 I think you know, has had a
 22 meeting with the Commissioner
 23 previously and discussed the
 24 white paper that you worked on

1 Now Martha and I had
 2 discussed -- there had been a
 3 previous stakeholder's meeting
 4 in 2006 -- or was it 2007 --
 5 earlier, so sometime back, and
 6 whether or not we should move in
 7 a different direction from that.
 8 But a fair amount of time's gone
 9 by and the white paper has come
 10 on out in the interim. So my
 11 understanding is that the
 12 thinking was that we should have
 13 a stakeholder's meeting.

14 What we'll commit to is
 15 that we will work with you on
 16 identifying an appropriate
 17 stakeholder's meeting and bring
 18 together people who should be at
 19 the table for this type of
 20 discussion and exploration,
 21 because that's really still what
 22 we need to do. What is it we
 23 think we need to do, what does
 24 the information that we can put

1 our hands on say we should do in
2 this state.

3 And so briefly some of the
4 groups that we would certainly
5 want at the table are the
6 hospital association, as they're
7 going to be the primary players
8 in this. So we would invite
9 HANYS, we would probably invite
10 Greater New York Hospital
11 Association from the City. It
12 would be my thinking to invite
13 physicians and physician
14 specialty groups and possibly
15 the nurse's association, as
16 well, and groups that you might
17 think should be at the table and
18 advise us. And we would work
19 with you to put that together.

20 The agenda, and again the
21 Commissioner has not said to us
22 go ahead and create a
23 regionalized pediatric system.
24 So I make that clear for the

ALEXI ASSOCIATES 189
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 record. But he did say, I'm
2 very interested in all of this.
3 I would like to see where we can
4 go and what the stakeholders
5 have to say about it, what their
6 interest and support is and go
7 to that step next.

8 From that, certainly we
9 might -- that might lead us to a
10 regionalized pediatric system,
11 but it's too early to say until
12 we have that meeting and have
13 those discussions.

14 So I think it's positive
15 but I think we have a little
16 ways to go yet. It's been
17 mentioned about regulations and
18 what's going on in the
19 Department right now with
20 regulations. I actually am not
21 up-to-date on where the
22 Department might be in working
23 on pediatric regs. I don't
24 think they've moved anywhere at

ALEXI ASSOCIATES 190
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 this point because there's been
2 so much going on in other areas
3 that has -- such as the hospital
4 overcrowding issue that has been
5 really still at the top of the
6 plate along with the Berger
7 Commission and what its
8 concentration, what hospitals,
9 and then of course the couple of
10 crises that came up that I
11 mentioned earlier with hospitals
12 closing precipitously. All of
13 this has taken away some of the
14 time.

15 But I'll talk to Dr.
16 Morley and find out, is there
17 currently an active group or do
18 they plan to reconvene to talk
19 about any specific pediatric
20 regulations and the EMSC
21 committee is interested in
22 taking part with the Department
23 in that regard.

24 So you might have

ALEXI ASSOCIATES 191
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 potentially some work that's
2 done between the Department and
3 EMSC assisting on just some
4 regulatory matters. All right.

5 And secondarily, parallel
6 of course, is to have this
7 stakeholder's meeting to look at
8 the big picture and maybe create
9 something down the road if
10 that's the way that everybody
11 agrees. So any questions on
12 this?

13 MS. ROGERS: I have a
14 comment. When you talk about
15 the issue of overcrowding --

16 MR. WRONSKI: Yeah, sure.

17 MS. ROGERS: -- because I
18 think in some ways that duck
19 tails with the regionalization
20 issue because -- and we have --
21 we have conflicting opinions
22 within our own hospital whether
23 we accept a child in transfer
24 from another institution when

ALEXI ASSOCIATES 192
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 maybe the ED is already
2 boarding, we have no beds and
3 yet somebody else from our
4 institution will accept that
5 patient, who could go to another
6 outlying hospital.

7 And there -- you know, I
8 think that is something that
9 regionalization should address
10 that there is other places and
11 we have better relationships
12 with each other so that we can
13 place a child in a place where
14 they're better cared for because
15 they're less overcrowded at that
16 moment.

17 MR. WRONSKI: Right. I
18 absolutely agree with you. And
19 I'll use this example, because
20 it's the one I'm most familiar
21 with, and that's the trauma
22 system.

23 I had the pleasure of
24 coming into the State of New

ALEX ASSOCIATES 193
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 centers, assisted the community
2 hospitals in understanding how
3 to care for trauma, and pushed
4 and created this regional system
5 where people were looked at
6 regionally. Care got better in
7 some of those community
8 hospitals. And so that was very
9 useful.

10 I think the same thing
11 would happen here if we did
12 create a regionalized pediatric
13 system where it's not simply
14 where the child goes that's
15 critical, because you know
16 better than me, there's what
17 percent of children really do
18 need to go to the specialty
19 center, but how many other
20 children should stay in the
21 community hospital and would be
22 better served there, if not only
23 because of overcrowding but
24 because you assisted those

ALEX ASSOCIATES 195
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 York EMS right about the same
2 time that the trauma system was
3 pushed by the state. There
4 already existed a trauma system
5 here. We didn't create it, it
6 was there, but we formalized it,
7 streamlined it, put some rules
8 to it, and everybody was
9 frightened about it because they
10 said all of the trauma is going
11 to go to this one hospital or
12 two hospitals in the area and
13 we're going to lose all that.
14 Well, that really didn't happen.
15 What really happened was, and I
16 watched this carefully over the
17 years and I didn't watch it
18 consciously originally but then
19 it came to my attention by a
20 variety of people mentioning
21 what they were seeing, and that
22 is that the trauma system, the
23 leaders in the regional centers,
24 particularly and in the area

ALEX ASSOCIATES 194
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 community hospitals in
2 understanding how to take care
3 of those children.

4 And so I see that --
5 that's how I see the benefit of
6 a regionalized system. Yes, I
7 think it would assist ED
8 overcrowding, particularly if
9 you set up communications along
10 the different hospitals. I'll
11 bring that back to Dr. Morley so
12 he understands that, too. He
13 may already understand that, but
14 I'll talk to him about that.

15 DR. COOPER: I have two
16 comments. First of all, I know
17 I speak for the committee in
18 thanking you for bringing us
19 this information and expressing
20 your willingness to move forward
21 sort of dually, simultaneously,
22 with a potential regulatory
23 component if the Department
24 feels we have a role in

ALEX ASSOCIATES 196
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 assisting -- in assisting and
 2 developing that structure.
 3 But also at the same time,
 4 moving ahead to the
 5 stakeholder's meeting, there's
 6 no better way to get buy-in for
 7 the proposed structure for
 8 regionalization than by looking
 9 simultaneously at the rules, you
 10 know, with stakeholders, you
 11 know, to vet them.

12 So I think that your
 13 statements are just right on the
 14 mark in terms of where we are
 15 all ultimately hoping that this
 16 might go.

17 I do think there is one
 18 part of the trauma experience,
 19 though, that we probably don't
 20 want to see repeated here, and
 21 as we move toward the brave new
 22 world of sixty hour work week
 23 residency training programs and
 24 practitioners who will be used

1 that responsibility to someone
 2 else when the patient might be
 3 just as well served in the
 4 community.

5 So I think that as a
 6 trauma system, nationally, we
 7 have not done as good a job as
 8 we could have done in terms of
 9 defining what can and should be
 10 cared for locally and how the
 11 best practices should be in line
 12 to do that. And I think it's a
 13 mistake we don't want to make
 14 here.

15 DR. KANTER: And I think
 16 you're absolutely right that
 17 what has happened historically
 18 is that more children are being
 19 sent from community hospitals to
 20 pediatric hospitals. I think
 21 the intent of a good
 22 regionalization system might not
 23 further that trend at all. In
 24 fact, what you might really like

1 to working sixty hours a week
 2 and taking no night call, we're
 3 going to have to do a better job
 4 of really defining what
 5 conditions really need to stay
 6 at the local level and providing
 7 folks with the tools that they
 8 need to manage them safely.

9 We all know that, as Jan
 10 pointed out, part of the
 11 overcrowding issue has been
 12 moving a lot of the community
 13 toward the big centers. But
 14 while it has improved the care
 15 as you said, Ed, the system
 16 relationships, the fact that
 17 more cases go to the centers and
 18 fewer remain in the periphery
 19 also has increased the anxiety
 20 of local providers and has, in
 21 some cases, decreased local
 22 providers because they no longer
 23 have the responsibility for
 24 those patients and can transfer

1 to do is keep more of the
 2 straight forward, simple cases
 3 in the community hospitals and
 4 reserve the pediatric hospitals
 5 for the more complex, high risk
 6 conditions. And it may be a
 7 diminishing number from what
 8 we're doing now.

9 MR. WRONSKI: One of the
 10 things I'd ask this committee to
 11 do today is to agree to put a
 12 subcommittee together that can
 13 meet and do some work over the
 14 next couple months on helping to
 15 define the agenda for the
 16 stakeholder's meeting with us.

17 And certainly one of the
 18 things that would be useful at
 19 the stakeholder's meeting, and
 20 I'll tell you would be paid
 21 attention to very clearly by the
 22 hospital associations, is what
 23 do you mean -- what kind of
 24 children need to go to the

1 specialty centers?
 2 And so if they heard what
 3 you just said, Dr. Kanter, I
 4 think they'd be pleased because
 5 what they do is represent, yes,
 6 the best interests of the
 7 patients but the best interests
 8 of their membership, which is
 9 220 or so hospitals in the State
 10 of New York. And so they'd like
 11 to be able to go back to their
 12 members and say, Listen, what
 13 they're building here is you're
 14 still going to care for
 15 children, but we're going to
 16 make sure that we help you
 17 identify those kids that you
 18 really can care for and move
 19 them on and that really is a
 20 small number of patients and
 21 here's what we were told at this
 22 meeting and here's how to look
 23 at it. I see that as a good
 24 agenda piece, the education of

ALEXI ASSOCIATES 201
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 the stakeholders, as well.
 2 Because HANYS won't walk into
 3 the room knowing this. They're
 4 going to need to have that
 5 discussion and have it on the
 6 table.

7 So it would be useful if
 8 the committee also worked in a
 9 small group and made
 10 recommendations on what this
 11 agenda should be.

12 DR. KANTER: As Sharon
 13 said, we're sort of operating on
 14 the assumption that that's part
 15 of our assignment here.

16 MS. CHIUMENTO: Some
 17 patients might just need
 18 consultation and nothing
 19 further, but at least they have
 20 some idea where to go.

21 DR. COOPER: I think Ed is
 22 speaking about a different
 23 issue, however. I think he's
 24 speaking about what the agenda

ALEXI ASSOCIATES 202
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 might be for a stakeholder's
 2 meeting. And I think the
 3 interfacility focus has been
 4 identification of a process for
 5 interfacility transfer and a way
 6 to identify the patients who
 7 should be transferred and should
 8 not and the resources that might
 9 be necessary to care for them.

10 So they're slightly
 11 different, I think, but I think
 12 that there's no question that
 13 the work that your committee is
 14 doing is really going to be
 15 essential to the stakeholder
 16 meeting. Okay.

17 Anything else from your
 18 group, Sharon and Bob? Okay.

19 MS. GOHLKE: We need to
 20 take a short break.

21 DR. COOPER: Okay. We'll
 22 take a short break. We're going
 23 to have to move fairly quickly
 24 when we get back, because it's

ALEXI ASSOCIATES 203
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 moving on in the day. It's 2:12
 2 and we've still got quite a bit
 3 of work to get done.

4 (Whereupon, a brief recess
 5 was taken.)

6 DR. COOPER: Let's begin.
 7 I think we all -- to reiterate,
 8 we're very pleased --

9 MS. GOHLKE: The
 10 microphones are working, but you
 11 have to get on top of them.
 12 Okay.

13 DR. COOPER: We heard from
 14 Ed Wronski about his
 15 conversation with Dr. Morley and
 16 we look forward to putting
 17 together that stakeholder group.
 18 What I will do is ask that
 19 anybody who is interested in
 20 serving on that small work group
 21 to look at a potential agenda
 22 for the stakeholder meeting,
 23 contact Martha and let her know
 24 of your interest and we'll go

ALEXI ASSOCIATES 204
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 from there.

2 I will jump quickly to
3 nominations/membership. Just to
4 let you know that Kathy and I
5 had planned to meet this morning
6 on this issue, but of course, as
7 you all know, her plane was
8 detained and she was unable to
9 be here until just a few moments
10 ago. So we will have to defer
11 that until our next meeting, but
12 we will get together by
13 conference call in between and
14 share our thoughts with you at
15 the appropriate time.

16 So I'll ask now if Jan
17 Rogers would give a report from
18 the education work group.

19 MS. ROGERS: I think I'm
20 probably the least prepared to
21 give this, because I feel the
22 least knowledgeable, but I took
23 notes so that was my mistake.
24 Anyway, please hop in and make

ALEXI ASSOCIATES 205
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 your comments when I falter.

2 The main -- one of the
3 main thrusts that we talked
4 about, and this was a little
5 last minute because Ann
6 Fitton, unfortunately, was
7 unable to come. She's
8 celebrating St. Patrick's Day,
9 at least in an official manner
10 -- was the lack of pediatric
11 information in the EMT
12 intermediate refresher course.
13 Apparently, there was a section
14 that was inadvertently left out
15 of that course and so there
16 needs to be pediatric content
17 addressed for that particular
18 refresher. Is that correct?
19 Okay.

20 And so we did not have
21 available the old course because
22 we would like to see, first of
23 all, what the old course had in
24 its pediatric, content-wise and

ALEXI ASSOCIATES 206
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 identify what would be
2 appropriate for pediatric
3 information for the refresher.
4 So that was one of the issues,
5 getting back in the information.

6 We did briefly look at the
7 EMT-I original curriculum and we
8 glanced at that to see what kind
9 of pediatric content and we
10 noticed it was very integrated
11 with the adult content. And we
12 kind of discussed it a little
13 bit, at least, about the fact
14 that if it's integrated with the
15 adult content it may be taught
16 by somebody who is more -- more
17 knowledgeable about adult versus
18 pediatric content. And that was
19 just an issue that was raised.
20 I don't think we were willing to
21 go any place at this time with
22 it, but I think it needs more
23 investigation rather than having
24 like a separate component that

ALEXI ASSOCIATES 207
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 was pediatric. Okay. Anything
2 else about that particular
3 issue? Okay.

4 We talked about maybe
5 getting together for a telephone
6 conference once we got more
7 information about what was
8 missing and what needed to be
9 included. So that was one of
10 the proposals as far as taking
11 this matter further.

12 One philosophical issue --
13 I think we kind of got a little
14 bit -- a little bit off the
15 track, but I think it was a very
16 good issue related to education
17 -- was more of a philosophical
18 issue that Tim raised about the
19 amount of transport time it's
20 taking to get a child to the
21 hospital if the paramedic or the
22 basic -- more the paramedic and
23 the advanced EMTs are trying to
24 implement their skills in the

ALEXI ASSOCIATES 208
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 field rather than getting the
2 child to the hospital. And some
3 of this has to do with transport
4 time. But if you're very close
5 to the hospital, wouldn't it be
6 better to get the child to a
7 more definitive place of care
8 rather than staying out in the
9 field and trying to start a line
10 or trying to intubate or trying
11 to do advanced skills. So I
12 think that's a good
13 philosophical thought to have in
14 mind when you're looking at the
15 education of paramedics, I think
16 more so than basic that it may
17 not be the best time to show off
18 your skills. It may be better
19 if you can get the child to the
20 hospital faster. So more
21 emphasis on what skills are most
22 important to keep the child's
23 airway open, to keep him
24 breathing in a safe fashion and

ALEX ASSOCIATES 209
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 levels.
2 As a nurse practitioner in
3 the emergency room, it's
4 becoming more emphasized that we
5 have to actually document our
6 competency. And if we don't see
7 a certain number or do enough
8 procedures in a year's time,
9 then what remedial training will
10 we need to keep our skills up.
11 And we talked about the fact
12 that if we don't get a certain
13 number of skills to prove that
14 you are competent, then you have
15 to go into extra remediation
16 time or refreshing times. And
17 so there is a tendency to feel
18 like you have to get your skills
19 in or you're going to have to
20 spend time refreshing. So
21 there's a little bit of edge
22 there to actually do the skills
23 rather than it may be better to
24 just get the child into the

ALEX ASSOCIATES 211
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 get them to a hospital faster.
2 What else did we talk about.
3 DR. HALPERT: I think the
4 further clarification on that
5 point -- you're correct in what
6 you said, but the other part of
7 that was the EMS provider
8 feeling comfortable in utilizing
9 those skills in the in-transit
10 mode.

MS. ROGERS: Oh, yes.

DR. HALPERT: So not
13 distinguishing on-scene care
14 from in-transit care, but
15 continuing care that integrates
16 with the overall emergency care
17 system so that one should be
18 capable to provide their skills
19 and abilities while in transit.

MS. ROGERS: We talked a
21 little bit -- I think I brought
22 it up because I know we are
23 becoming more encouraged into
24 identifying our competency

ALEX ASSOCIATES 210
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 emergency department. So
2 there's a lot of --
3 MR. CZAPRANSKI: To
4 develop on that further -- to
5 say you need five intubations in
6 a year. You've got four.
7 You've got a pediatric patient
8 that qualifies for intubation
9 with a hospital three minutes
10 away and you spend fifteen
11 minutes on scene. So are you
12 making decisions

13 clinically-based or to hit a
14 number or what the case may be?

I sat on a child mortality
16 review team in Monroe County,
17 and when I got to the
18 prehospital care reports, one of
19 the things that surprised me is
20 the amount of scene time for
21 pediatric patients. Most of
22 these are infants, and twenty
23 minute scene times when they're
24 three or four of five minutes

ALEX ASSOCIATES 212
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 from a major facility. These
2 are kids you can pick up and go.
3 These are non-traumatic
4 injuries. So it's one of the
5 things we're looking at.

6 And in the training
7 module, once you get on scene
8 and you do your scene safety and
9 you start working through your
10 skills history and your ABCs, at
11 what point in time do you treat
12 pediatric patients or do you
13 pick up and move quickly? I
14 think that's something we
15 probably should look at the
16 national critical level or state
17 critical level. Where does that
18 transport decision come in?
19 It's different for a certain
20 subset of pediatric patients.

21 DR. COOPER: All very good
22 points. Jan, since you did take
23 the notes, if you'd be kind
24 enough to summarize them in the

ALEXI ASSOCIATES 213
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 form of some kind of very brief
2 dot point list of thoughts that
3 your committee shared, that
4 would be helpful. And Sharon
5 will be doing, as well, I trust.

6 So are there any questions
7 or comments at this point? Ed.

8 MR. WRONSKI: Just a quick
9 comment. Brain and Martha have
10 looked at data -- pediatric, and
11 one of the things we have is the
12 2006 PCR data. 2007 will be
13 ready by May.

14 One of the things we can
15 do, too, is take a look at the
16 break out of scene times,
17 pediatrics versus adults. I
18 don't know that we've ever done
19 that. We've done scene times in
20 adult but I don't know that
21 we've broken it down into the
22 age groups. And if we looked at
23 that, what would the difference
24 be? And I'm hearing it might be

ALEXI ASSOCIATES 214
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 different. So we have data that
2 is not really that old. You
3 know. The system isn't going to
4 change next year or isn't going
5 to be different this year to
6 what it was two years ago. So
7 we can take a look at that.

8 DR. HALPERT: And I meant
9 to ask this before, so I
10 apologize. But it is almost a
11 little counterintuitive to look
12 at the pediatric fatality rate.
13 So the delay you're talking
14 about is, I presume, the most
15 critically ill patients. And I
16 wonder why that is. There is a
17 set-up on the scene for someone
18 that probably needs more
19 expertise -- so I'm not sure
20 without really seeing a case by
21 case basis. I'm still
22 scratching my head about that.

23 MR. CZAPRANSKI: I mean,
24 the cases that we looked at go

ALEXI ASSOCIATES 215
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 through a -- you know, a series
2 of sort of checks and balance.
3 Usually they have CBC
4 involvement or some level of
5 county or state involvement in
6 the home already, social worker
7 or some other or it's a
8 complicated case, unexplained
9 death or ME sent it to us to
10 say, This didn't go in by EMS to
11 the hospital so it wasn't
12 reported that way to the -- MEs
13 office was called to the scene.

14 Fewer number of cases --
15 by far, most of the cases had
16 EMS transport responding, law
17 enforcement as well as social
18 worker component. All those are
19 brought into play. They're kids
20 that have sometimes been
21 neglected or get too much
22 medication or are in some sort
23 of respiratory crisis because it
24 hasn't been treated properly at

ALEXI ASSOCIATES 216
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 home. There are a lot of
2 reasons for it and they are
3 critical.

4 And one of the things that
5 I think the whole group agrees
6 with is if I've got an eleven
7 month old infant and I'm 150
8 feet away from an ambulance and
9 two and a half miles from a
10 hospital that has a whole team
11 that can treat this patient, why
12 work with one person? Do what
13 you can do and move that patient
14 along.

15 And I don't think that
16 transport decision, at least in
17 our discussions, has entered
18 into the education piece of that
19 as it relates to that subset of
20 patients. When I talked to the
21 paramedics involved, it makes
22 perfect sense to them -- A, B,
23 C, D and then start thinking
24 about transport. We should

ALEX ASSOCIATES 217
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 probably think about transport
2 much quicker.

3 MS. CHIUMENTO: I'm just
4 wondering, do you have a copy of
5 the '09 protocols, because I
6 believe I do, so if you let me
7 know what section you want, I
8 can probably scan it and e-mail
9 it to you.

10 DR. COOPER: Okay. Any
11 other thoughts regarding the
12 education sub-committee report?

13 Hearing none, we are very
14 fortunate that Gary Tuttle was
15 able to take some time out of
16 his busy schedule today to show
17 us the EMS website and where we
18 and EMSC might have a home
19 within that EMS website at some
20 point in the future for those of
21 us who are tech savvy or who
22 have become tech savvy. So
23 Gary, if you'd go ahead.

24 MS. GOHLKE: And I thought
ALEX ASSOCIATES 218
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 it would be nice to see one of
2 the faces behind the big curtain
3 here. This is one of our faces,
4 so rather than listen to me and
5 Dr. Cooper drone on, we asked
6 Gary to chime in with his voice.

7 MR. TUTHILL: Thank you
8 very much for having me. For
9 the purposes of the
10 stenographer, it's Gary
11 Tuthill, T-U-T-H-I-L-L. Okay.
12 Can everybody hear me okay?

13 Our website, if you go to
14 the main department website
15 which is www.nyhealth.gov and
16 then you scroll down you'll get
17 to the Bureau of EMS website.

18 On the left-hand column
19 here towards the bottom, you're
20 going to see EMS/EMT. Click on
21 that. That goes to the Bureau
22 of EMS home page. From this
23 section on, it's the part that I
24 maintain and am fondly familiar

ALEX ASSOCIATES 219
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 with it.

2 You go down to the section
3 for Bureau of EMS. It's got
4 that and our staff, if you're
5 interested in who does what in
6 the Bureau.

7 Regional offices -- who
8 works at the regional offices
9 and stuff. You know, as you
10 scroll down, it has a section
11 for each area office.

12 Our forms page, right
13 below the regional offices,
14 that's got pretty much every
15 form that the Bureau uses.
16 There are some sections that
17 probably will be added in the
18 coming months, whether I like it
19 or not. But anyway, if you're
20 looking for a particular form
21 for EMS, which I'm not quite
22 sure what the committee here
23 would have a need for, but the
24 forms are all located on this

ALEX ASSOCIATES 220
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 one site. Every other spot on
2 the web page here that discusses
3 forms will have a link back to
4 that forms page. So they all
5 link back to that one.

6 EMS statistical
7 information. Right now as of
8 2005, I will be putting updated
9 stuff on there. I believe 2006
10 -- 2006 or 2007 --

11 MS. BURNS: Six.

12 MR. TUTHILL: Six. So
13 2006 will be going up soon with
14 the data.

15 MS. BURNS: More data than
16 you know, courtesy of Brian.

17 MR. TUTHILL: Thank you,
18 Brian.

19 MR. GALLAGHER: Sure.

20 MR. TUTHILL: Information
21 by counties might be -- I'm just
22 going to click on one county
23 because they're all -- they all
24 have the same information. But

ALEXI ASSOCIATES 221
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 Albany County will have listed
2 the EMS coordinator's name, his
3 or her office phone numbers and
4 e-mail, which regional EMS
5 council and program agency is
6 covered -- covers that county.
7 The actual ambulance services,
8 their address and level of care.
9 Non-transporting, BLS first
10 response services or ALS first
11 response, either way, same
12 thing, address and level of
13 care. Hospital information for
14 that particular county and then
15 for EMS purposes the location
16 codes for their documentation.
17 Last thing at the bottom of the
18 page is going to be the regional
19 office for our bureau that
20 covers that particular county
21 and their contact information.

22 Updates and announcements.
23 I put here any kind of important
24 announcement that comes up.

ALEXI ASSOCIATES 222
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 Usually it's a manufacturer
2 recall or some other recall,
3 like the peanut butter incident.
4 Most recently, Welch Allyn AED
5 10 just had a recall. That
6 information is up. The FDA
7 notice is up as of about an hour
8 and a half ago, actually.

9 Public meetings, where
10 your meeting schedules are. We
11 have the SEMAC, SEMSCO, Trauma
12 and EMSC on this page. Right in
13 this section also, after the
14 meeting is done and the minutes
15 are completed, I'll be posting
16 the minutes here so you can just
17 obtain minutes from previous
18 meetings here. And as the
19 agenda gets formulated for
20 upcoming meetings, I'll post
21 that as well.

22 Webcasts. If you can't
23 make it -- you can't make the
24 SEMAC SEMSCO meetings and you

ALEXI ASSOCIATES 223
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 wish to view them, that's where
2 you find them.

3 Education gets pretty
4 involved. Where to obtain EMT
5 courses. The curriculum is here
6 as well, CIC, CLI, and the EMS
7 curriculum. So if you want to
8 research what's actually in the
9 current curriculum, you can
10 locate it on this page. Course
11 locations and how to find
12 courses. Exam schedule if
13 you're interested in that.
14 Frequently asked questions for
15 certification, there's a page
16 for that. That covers
17 everything from certification,
18 recertification, reciprocity,
19 military leave, a wide gamut --
20 questions about CLI, CIC
21 certification, as well. This
22 isn't going to have a lot of
23 interest for this particular
24 committee, but applications for

ALEXI ASSOCIATES 224
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 a service to go operational.
2 The BLS protocols for the state
3 would be here.

4 MR. WRONSKI: And as I
5 mentioned earlier, they were
6 just changed, so is this the
7 updated protocol?

8 MR. TUTHILL: Most recent,
9 yes. And then actually, if I
10 can go back to the protocols, it
11 has a link to SEMAC advisory,
12 which I'll get into a little
13 bit --

14 CFR protocols. Right now
15 it's very messy -- it's a messy
16 page, I'll freely admit that,
17 but it is being updated to look
18 very much the same as the basic
19 life support for EMT and A-EMT
20 protocol. It is going to be one
21 PDF and look a lot cleaner.

22 Stroke centers. As I get
23 notified of the new stroke
24 centers in the state or a

ALEX ASSOCIATES 225
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 hospital has closed or dropped
2 its designation as a stroke
3 center, I'll add or remove it as
4 needed. But they're listed by
5 regional EMS councils. Central
6 New York, for instance, has
7 Crouse, SUNY Upstate and
8 Tompkins County, Cayuga. So
9 those are added, you know, as I
10 get the information.

11 MR. WRONSKI: And if you
12 know in your region that
13 somebody has opened as a stroke
14 center and you didn't see it on
15 here, feel free to send Gary a
16 note and we'll check it out.

17 What we have found most
18 often is that the hospital has
19 jumped the gun and actually
20 hasn't received the final letter
21 from the Commissioner but has
22 told the EMS community, We're
23 approved as a stroke center.
24 But they can't actually do that

ALEX ASSOCIATES 226
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 until they have a physical
2 letter from the Commissioner.

3 MR. TUTHILL: Prehospital
4 quality improvement page,
5 quality improvement manual.
6 It's relatively large, so --

7 MS. BURNS: If you do have
8 a chance, do take a look at it.
9 It was really written by --
10 under the lead of Bob Delagi and
11 Brad Kaufman -- Dr. Kaufman
12 from New York City. And it's
13 very, very good and it has a
14 really nice educational package
15 with it.

16 MR. TUTHILL: This is the
17 educational package that she was
18 just discussing. It's a Power
19 Point presentation that the
20 agencies can use to educate
21 their own people on --

22 MS. BURNS: We had
23 Marjorie look at it to make sure
24 there were no inappropriate

ALEX ASSOCIATES 227
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 slides. Bob Delagi and I --
2 you've got to look at it.

3 MR. WRONSKI: She did half
4 the presentation.

5 MS. BURNS: I was going to
6 say, because what's appropriate
7 to us --

8 MR. TUTHILL: Our disaster
9 preparedness page. There is not
10 a lot of direct information that
11 we've added. Just borrowed from
12 other states and government
13 agencies.

14 DR. COOPER: Could you
15 just scroll back up for just a
16 second?

17 MR. TUTHILL: Absolutely.

18 DR. COOPER: Just one
19 suggestion. I don't see the
20 FEMA training website listed in
21 that section, training.fema.gov.
22 Is it there?

23 MR. TUTHILL: Yes.

24 MS. BURNS: Right under

ALEX ASSOCIATES 228
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 New York State --
 2 DR. COOPER: It might be
 3 worth mentioning that there is
 4 special training available on
 5 that website.
 6 MR. TUTHILL: The meat and
 7 potatoes of our existence is the
 8 policies and laws and
 9 regulations. Policy statements
 10 are on this page here, starting
 11 most recently, going to oldest
 12 policy statements. And as
 13 they're updated or deleted,
 14 they're added or removed here as
 15 well.
 16 Coming up to the main page
 17 is located in this section here.
 18 The second one will be article
 19 30.
 20 MR. WRONSKI: If I could
 21 just mention on the policies.
 22 What a lot of people don't
 23 realize is that we have, at
 24 present, somewhere in the area

ALEXY ASSOCIATES 229
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 of a hundred different policies.
 2 The oldest ones go back to about
 3 1984, maybe '85.
 4 But what we've been
 5 mandated to do, and not just EMS
 6 but all of the state agencies is
 7 we have to make sure that any
 8 policy that's more than five
 9 years old is in fact still valid
 10 and that means -- maybe it
 11 doesn't apply anymore because
 12 the statute has changed and
 13 maybe it's just old information
 14 that needs to be updated. So
 15 we're having the different units
 16 look at a variety of policies to
 17 -- over the next year or two
 18 years, potentially update all of
 19 the policies -- delete or update
 20 so that this list will get
 21 crunched/ and what you're
 22 eventually going to see is all
 23 the policies will be typically
 24 no older than five years. As we

ALEXY ASSOCIATES 230
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 update them, we'll issue -- even
 2 if we don't change anything, all
 3 we'll say is this has been
 4 reviewed on this date and is
 5 reissued. But it will take us a
 6 couple years to really do a good
 7 job on all of them.
 8 But there are significant
 9 policies and the EMS agencies
 10 follow the policies in general
 11 almost as if it they were
 12 regulations, at least we find
 13 that when we go out. They tend
 14 to be useful documents. We try
 15 not to make a policy like a
 16 regulation. Policies are meant
 17 to be guidance documents, but
 18 they're often backed up by
 19 regulation or statute.
 20 DR. COOPER: Ed, are all
 21 the policies that are currently
 22 active listed on the website or
 23 are there others?
 24 MR. WRONSKI: All policies

ALEXY ASSOCIATES 231
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 are on here, correct Gary?
 2 MR. TUTHILL: Yes.
 3 MR. WRONSKI: They're all
 4 on here.
 5 MR. TUTHILL: All the
 6 active policies are on this page
 7 here.
 8 MR. WRONSKI: And also at
 9 the bottom is the SEMAC
 10 advisories.
 11 MR. TUTHILL: Then we go
 12 to article 30, public health
 13 law. In the table of contents,
 14 it's sectioned off so you can
 15 just skip to the section. You
 16 don't have to scroll all the way
 17 through it and that's very
 18 helpful in getting -- cutting to
 19 the chase on what you're looking
 20 for in the law.
 21 There is EMS for Children
 22 law 30(c). Part 800 would be
 23 under the rules and regulations,
 24 and this is a direct link also

ALEXY ASSOCIATES 232
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 to the sections so you can go to
2 where you need to.

3 We have information here
4 that the department's --
5 department-wide has put
6 information up on the recent
7 changes with the Ryan White Act
8 and post-exposure incidents for
9 potentially infectious materials
10 and guidance documents. So I
11 added the link to that --
12 actually, I added this and the
13 Department has a link to their
14 site.

15 Lastly, we have the
16 supporting programs. We have
17 the regional EMS councils,
18 program agencies, EMS for
19 Children's page. Martha is very
20 good at getting on me about
21 keeping this accurate, so if you
22 find an error, talk to her.

23 MS. BURNS: You can add
24 your youtube video links too, if

ALEXY ASSOCIATES 233
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 you have them.

2 MR. WRONSKI: Or facebook
3 or whatever.

4 MR. TUTHILL: Honestly,
5 the most common change I put on
6 this particular page is your own
7 personal information, titles
8 change, sometimes addresses
9 change. Feel free to contact me
10 and let me know that something
11 needs to be updated here and
12 I'll be happy to make the
13 change. That's generally the
14 most common.

15 Some of the products that
16 you created and distributed,
17 training documents.

18 Trauma program. Very
19 similar actually as far as what
20 they have, links and education
21 stuff.

22 Link to our Vital Signs
23 conference, information on that.
24 That's also at the very top of

ALEXY ASSOCIATES 234
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 our website. I thought I'd
2 advertise that a little bit.

3 Council awards, just the
4 criteria for that.

5 State EMS council. This
6 is a challenge to keep
7 up-to-date with who is actually
8 a vetted member and who's not,
9 but when I get information I
10 change it as needed.

11 And lastly, children's
12 camps and the epinephrine
13 auto-injector device law.

14 MR. WRONSKI: If I could
15 comment. Gary's -- Gary's been
16 doing this now for two years or
17 a year?

18 MR. TUTHILL: Two years.

19 MR. WRONSKI: Two years.
20 And he's made a lot of updates
21 to it, gone to a number of
22 training sessions, because to
23 get this on the web, he has to
24 translate all the documents into

ALEXY ASSOCIATES 235
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 the HTML language.

2 And -- but what we are
3 told is that this is the second
4 busiest website in the state --
5 for the state. Of the DOH
6 websites, this is the one that's
7 the second most busiest. It's
8 first --

9 MS. GOHLKE: What is
10 first?

11 MR. WRONSKI: I used to
12 know but I don't recall any
13 more.

14 MS. BURNS: OPMC. They're
15 searching on these doctor types.

16 MR. WRONSKI: Yeah, maybe.
17 But it is a very useful tool to
18 find something fairly quickly.

19 MR. TUTHILL: It's also
20 important to know that I have
21 very tight constraints to the
22 way things look, what can go up
23 and what can't. Many things I
24 have to get approval to post.

ALEXY ASSOCIATES 236
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 You'll notice there's not a lot
2 of images on this particular
3 site, because I almost need
4 congressional approval to get
5 images on here. So there is a
6 very short leash to what's
7 allowed to go on here and what's
8 not.

9 In general, if you see a
10 typographical error, those are
11 very easy to change or a title,
12 names, addresses. But as far
13 adding content, sometimes that's
14 a little more challenging to get
15 approval to put up here. So
16 it's important. I can't just
17 put anything I want to on here.

18 MS. CHIUMENTO: I just
19 want to tell you, the last
20 couple of years there's been a
21 huge improvement in the
22 timeliness of the information
23 that's on there and -- I use it
24 all the time. I'm constantly

ALEX ASSOCIATES 237
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 referring to different things on
2 that, particularly protocols but
3 a lot of other things, as well.

4 But the one thing that I
5 could not find the other day,
6 and maybe it's on purpose, is
7 the e-mail addresses. And I
8 notice that's Martha's was up
9 there, but there is no e-mail
10 addresses for anybody else.

11 MS. GOHLKE: Nobody wants
12 to give their's out.

13 MS. CHIUMENTO: I just
14 wondered if you could put a
15 generic --

16 MS. BURNS: I'll give you
17 my e-mail address.

18 MS. CHIUMENTO: I have
19 yours.

20 MS. BURNS: When the web
21 page actually first got really
22 recognized, the Department's web
23 page, there was what the
24 Department calls a BML, a bureau

ALEX ASSOCIATES 238
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 mail log. And we -- it was
2 EMS.state.ny.us. And we were
3 deluged in e-mail from spam to
4 the most unbelievable stuff.

5 And several of us, my
6 colleague in education and I,
7 went whining to Ed and begged
8 him for permission to take that
9 down. And we purposely did that
10 because it ranged from graduate
11 students wanting us to do their
12 research, which is a full-time
13 job based on just the number of
14 requests that we get, to racy
15 stuff I wouldn't even want to
16 talk to you about.

17 And so the Department took
18 it down. And there is a main
19 mail log that the department
20 uses. I think it's
21 DOH.health.state. It is
22 available and it goes through
23 our public affairs group. And a
24 lot of it, I have implored them

ALEX ASSOCIATES 239
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 to screen. And the coordinator
2 that we deal with directly is
3 quite good at that.

4 And we get a lot of -- a
5 lot of stuff that -- people have
6 gotten very lazy about doing
7 their own research, and so it's
8 just easy to send a note to this
9 mail log and someone from the
10 Health Department will tell them
11 how to do it. We don't have the
12 time or inclination to do that.
13 So we have quite purposely not
14 provided individual e-mail
15 addresses.

16 MS. CHIUMENTO: The one
17 specific one was related to the
18 EMSC group and people had some
19 questions about that.

20 MS. GOHLKE: Then we put
21 you through the exercise of the
22 phone and if you're really doing
23 this and have lots of endurance,
24 you'll get through to someone.

ALEX ASSOCIATES 240
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 MS. BURNS: We're really
2 good about that.
3 DR. COOPER: The reason
4 that Kathy and I felt that we
5 should spend a little bit of
6 time showing the web site is so
7 you not only had a sense about
8 what was up there but what was
9 up there about us and the kinds
10 of information that we as a
11 committee could put out there,
12 you know, to the public and to
13 our providers if we felt that it
14 was important to do so.

15 So I think we would both
16 ask you all to think about stuff
17 that you think might be there
18 that isn't there.

19 There are two things that
20 I can think of off the top of my
21 head that we might want to put
22 up there and those are the
23 ambulance reference cards that
24 we --

ALEX ASSOCIATES 241
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 MS. GOHLKE: They're on
2 there, under products.
3 DR. COOPER: Oh, it's
4 under products. Okay. They're
5 there. Excellent.

6 MS. BURNS: We got you
7 covered.

8 MS. GOHLKE: Come on,
9 throw us another one.

10 DR. COOPER: So as you can
11 see, I don't visit this web site
12 very often in my travels. But
13 if there are other things that
14 you think should be there,
15 please let Martha know. And
16 please, to the extent that you
17 have the ability, please share
18 with all of our colleagues that
19 we are well represented on the
20 website. There are nice work
21 products up there and that the
22 site is there for, you know, our
23 colleagues and public's use.

24 MS. BURNS: Very quickly.

ALEX ASSOCIATES 242
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 You might have noticed we just
2 updated our public access
3 defibrillation policy. One of
4 the things -- I drive Gary
5 nearly insane. He's a very good
6 natured person.

7 The Department has a very
8 tight forms approval process.
9 It is bureaucracy versus
10 bureaucracy. So he keeps me out
11 of their hair. But the new
12 policy which really does affect
13 you as pediatric experts is we
14 moved -- the SEMAC and State
15 Council approved several new
16 training curriculum for public
17 access defibrillation. And in
18 the effort to be more flexible
19 and get them more quickly up and
20 available to PAD sites, we moved
21 them from the notification form
22 to the actual policy. So if you
23 are dealing with schools who
24 should not necessarily be

ALEX ASSOCIATES 243
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 defibrillating children but you
2 have issues with this stuff,
3 this is all available.

4 With regard to that, and
5 we're going to work with the
6 state EMS council and SEMAC as
7 well, and the result of this
8 circumstance on Long Island a
9 couple week ago, we're looking
10 at working with the Department
11 and all of you as experts now
12 that essentially ten years has
13 passed since the public access
14 enabling legislation was
15 enacted -- we're kind of
16 shooting for EMS week -- but to
17 send out some sort of public
18 relations type information that
19 will essentially remind these
20 public access defibrillation
21 sites a couple of things, which
22 we take for granted. One is how
23 are your batteries? Have you
24 had your machine program

ALEX ASSOCIATES 244
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 updated? Are your pads in
2 current date? Do you have
3 pediatric pads? Do you have
4 pediatric interface? Have you
5 had your training updated?
6 Because we discovered as a
7 result of this -- we knew this,
8 but it had never been tested.
9 We don't have enforcement
10 authority under article 30 when
11 an event occurs, which actually
12 is frankly good.

13 But I think the Department
14 feels in talking with Dr. Morley
15 and Ed that a public education
16 type awareness, even if we sent
17 out pamphlets to all of our PAD
18 sites and our EMS community --
19 hey, you remember these machines
20 you plunked on the wall? Ten
21 years has passed. Are they
22 up-to-date with programming?
23 Because at issue with that --
24 this situation occurred because

ALEX ASSOCIATES 245
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 the machine was not programmed
2 in accordance with the SEMAC
3 guidelines at the time and
4 current defibrillation
5 protocols.

6 So that's sort of what
7 we're going to do. So we'll
8 reach out to your group and
9 share what we come up with for
10 you to take a look at.

11 DR. COOPER: Okay.
12 Outstanding. Any questions or
13 comments for Gary?

14 MR. TUTHILL: Thank you
15 for having me.

16 (Discussion off the
17 record.)

18 DR. COOPER: Thank you.
19 Okay. Let's now move on to old
20 business. I think we've pretty
21 well covered the regionalization
22 white paper update. Ed, thank
23 you very much for going over
24 that with us a little bit

ALEX ASSOCIATES 246
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 earlier.
2 Ed or Martha, can you give
3 us an update on the status of
4 the EMSC bylaws?

5 MS. GOHLKE: Just briefly,
6 just to let you know where
7 they're at. We had a couple
8 meetings with Department counsel
9 folk and DLA.

10 DLA is finally, like two
11 days ago maybe, sent back their
12 comments on the bylaws. And we
13 didn't have time to send that
14 out and have you look at them;
15 we'll do that for the next
16 meeting.

17 There wasn't a huge amount
18 of changes. The biggest change
19 was -- I don't know if you
20 remember, but we were following
21 the STAC bylaws and their
22 guidance that they had gotten
23 back from DLA a year or two ago
24 on reducing the terms from four

ALEX ASSOCIATES 247
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 years to three years. And we
2 just changed ours in accordance
3 because we thought that's what
4 DLA wanted. But come to find
5 out, our statute -- because our
6 statute said four year terms,
7 we're going to follow the
8 statute. We have to follow the
9 statute. So we're going to go
10 back to the four year term for
11 membership for the committee.
12 That's probably the biggest
13 change in there and maybe also
14 staggering the terms was the
15 other one, so we don't have a
16 turnover of the whole
17 membership, you know, all at
18 once in four years. We can do
19 it every two years with half the
20 membership.

21 Other than that, just some
22 quick reminder things. I'll get
23 them ready for you and send them
24 out before the next meeting. At

ALEX ASSOCIATES 248
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 the next meeting, we'll have you
2 review them and then hopefully
3 maybe we can vote on them at the
4 next meeting.

5 DR. COOPER: Very good.
6 Thank you so much.

7 On the pediatric disaster
8 card, I don't think there is a
9 lot to report here. We've been,
10 I think, focusing on education
11 and interfacility transport for
12 the last few months, but I would
13 like to get a jump start on that
14 for the next meeting.

15 Now a word to the wise,
16 which includes everyone in the
17 room, of course. Unfortunately
18 on May 20, and I say
19 unfortunately because it
20 conflicts with the EMS memorial
21 ceremony in Albany, the New York
22 City Department of Health has
23 organized an immovable
24 conference on pediatric disaster

ALEX ASSOCIATES 249
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 care.

2 The pediatric disaster
3 coalition of New York City is
4 holding a one-day conference on
5 all the pediatric surge planning
6 that's been going on in New York
7 City both in respect to mass
8 casualty triage and expanding
9 the pediatric ICU bed capacity
10 within New York City. So there
11 may be some new information
12 available in terms of disaster
13 triage or mass casualty disaster
14 triage and certain issues that
15 come out of that meeting.

16 And, of course, I think
17 while I have not been told
18 explicitly that the meeting is
19 open to the public, I'm sure any
20 member of the state EMS
21 advisory committee that wish to
22 attend may do so. It is going
23 to be held on May 20th at Baruch
24 College in Manhattan.

ALEX ASSOCIATES 250
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 So once again, if you're
2 interested in working on the
3 disaster card, please contact
4 Sharon -- I'm looking at Sharon
5 -- Martha, but I'm hoping Sharon
6 will want to participate.

7 MS. CHIUMENTO: I'm on a
8 fixed income now.

9 DR. COOPER: I know. As
10 we all are in this current
11 economic climate, right? Okay.

12 I now want to turn to new
13 business and I want to spend
14 just a few moments going over
15 the proposed pediatric trauma
16 regulations. Clearly --

17 MS. GOHLKE: Right-hand
18 side.

19 DR. COOPER: Right-hand
20 side. Thank you. On the
21 right-hand side of your packets,
22 third from last. Okay.

23 We had some fairly
24 specific instruction from the

ALEX ASSOCIATES 251
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 group that was charged to go
2 over the regulations and make
3 pediatric recommendations, and
4 I'll just cover some of the
5 highlights with you before we go
6 through this in a little bit
7 greater detail.

8 The group felt that we
9 should make a stab at coming up
10 with, at the least, a bottom
11 line definition of what
12 constituted a pediatric trauma
13 patient, not that there couldn't
14 be regional or local variations,
15 but it made sense that there be
16 some kind of statewide standard.

17 The group felt that there
18 should be emphasis on the
19 multiple disciplinary management
20 of pediatric trauma. The group
21 did not want to follow the
22 American College of Surgeons
23 model which designates level one
24 and two pediatric trauma

ALEX ASSOCIATES 252
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 centers.
 2 And right behind the state
 3 draft is the current chapter in
 4 the green book from the American
 5 College of Surgeons that -- that
 6 describes what the American
 7 College of Surgeons has put into
 8 place for you to read, digest
 9 and compare with what's on the
 10 printed page here.

11 And, in fact, the group
 12 felt pretty much as though it
 13 wanted the pediatric trauma
 14 centers to be, whenever
 15 possible, linked with the level
 16 one centers. They wanted a
 17 statement that everybody should
 18 be capable of resuscitating a
 19 pediatric patient, no matter
 20 what facility, that there should
 21 be written transfer agreements
 22 between facilities not
 23 designated as pediatric-capable
 24 and those that were and that

1 research. That seems to make
 2 the most sense. But that
 3 locally the definition could be
 4 changed if there was reason to
 5 do so.

6 The next section talks
 7 about who can be a pediatric
 8 trauma center and basically you
 9 can be a pediatric trauma center
 10 if you're a general or specialty
 11 hospital, being a pediatric
 12 specialty hospital, but you've
 13 got to meet the standards for
 14 regional trauma centers or level
 15 one trauma centers and meet all
 16 the standards that are
 17 applicable for children as well
 18 as the additional standards
 19 listed below.

20 The next section referred
 21 to pediatric trauma in area
 22 trauma centers, because the
 23 group only wanted one level
 24 center. The comment about all

1 there ought to be some way to
 2 direct pediatric patients to the
 3 appropriate facilities with
 4 appropriate consultation and
 5 also to review the transfers at
 6 a later date. Those were the --
 7 those were the main themes that
 8 emerged from the meeting.

9 So going through the
 10 document section by section, and
 11 again, hitting on the high
 12 points. On the first page,
 13 section five, change the wording
 14 from "services" to "centers"
 15 because that's what the group
 16 felt it wanted.

17 Section one describes what
 18 constitutes a pediatric patient.
 19 I think we thought in the past
 20 that using a fifteen/fourteen
 21 split, since that's consistent
 22 with pre-puberty, post-puberty
 23 as well as the CDC definitions
 24 that it uses for epidemiologic

1 trauma centers and stations
 2 being capable of resuscitation
 3 is next. The statement about
 4 written transfer agreements is
 5 next. The next longest
 6 paragraph adapts language from
 7 the systems section of the
 8 current code that specifies a
 9 process for getting the patient
 10 to the right place if he or she
 11 meets major trauma criteria and
 12 -- but does provide an
 13 opportunity for a patient who
 14 would ordinarily meet major
 15 trauma criteria not to go to the
 16 center if a consultation with a
 17 trauma specialist at the center
 18 suggested for some reason it's
 19 not a wise idea.

20 The next three sections
 21 all focused on, again, language
 22 adapted from the systems section
 23 of the current document that
 24 expands on these principles,

1 talking about decisions to
2 transfer being the
3 responsibility of the physician
4 in the initial receiving
5 hospital but is expected to
6 occur in a timely manner, that
7 the transfer should be made as
8 soon as possible and that the
9 mode of transportation should
10 involve pediatric critical care
11 transport teams whenever
12 possible.

13 It defines the minimum
14 components of the pediatric
15 trauma team, an emergency
16 physician, emergency nurse and
17 pediatric surgeon. It talks
18 about the requirements of -- to
19 be the pediatric surgeon on duty
20 in the hospital, again mirroring
21 language that is currently in
22 the code as adapted for the
23 proposed revisions.

24 Moving on. Most of the

ALEX ASSOCIATES 257
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 rest of the document involves
2 some technical matters, the
3 addition of the word "care" to
4 mirror language elsewhere in
5 section eleven.

6 Reference to successful
7 course completion in section
8 twelve, together with a switch
9 from the fifth to the fourth
10 year, consistent with the
11 revisions that are suggested for
12 the main document.

13 And then moving on. The
14 next two sections are minor
15 technical corrections. Section
16 fifteen refers to the pediatric
17 ICU. It was the feeling, as you
18 recall, that it should be a
19 pediatric intensive care unit
20 rather than a pediatric
21 intensive care area and that the
22 people directing the care should
23 be appropriately trained and
24 certified. The same with the

ALEX ASSOCIATES 258
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 emergency department.

2 And then the last four
3 sections focused on a pediatric
4 PI program. That PI program has
5 to include review of all
6 transfers and that findings have
7 to be shared with the regional
8 PI process, that there be
9 support for pediatric social
10 services and child life programs
11 and that there be affiliation
12 with a child advocacy center or
13 equivalent for potentially
14 abused children.

15 So I think this covers
16 most of the issues that came up
17 in the January meeting as that
18 had not already been addressed
19 in the first draft of this
20 document, mainly the social
21 services and child life issues.

22 And I've done the best I
23 could to try to cover the flavor
24 of those discussions. Bob, I

ALEX ASSOCIATES 259
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 think you and Ed and Martha -- I
2 think you were all there for
3 those discussions and you can
4 tell me if I've missed the boat
5 on any of this or if I exceeded
6 what was asked.

7 MR. WRONSKI: Well, I did
8 leave at one point and so I
9 missed part of the discussions,
10 but in general it looks like you
11 covered what the committee
12 wanted. Again, that's going to
13 take another couple of
14 read-throughs, just like you're
15 reading through it to determine
16 if we missed something.

17 DR. COOPER: Absolutely.

18 MR. WRONSKI: Martha had a
19 couple of comments from a
20 federal reviewer who brought
21 up that you might want to build
22 something in on pediatric rehab
23 and how that would be available,
24 on injury prevention

ALEX ASSOCIATES 260
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 capabilities in the hospital, do
2 they have any.

3 I guess the question will
4 be as we look at this, because
5 regional centers and area
6 centers in the trauma regs have
7 that in it, how does that apply
8 to pediatric sections or do we
9 need to build it in separately.
10 I think we need to look at
11 questions like this.

12 So what I'll ask Martha to
13 do, too, is to share the
14 comments from the federal
15 officer just for consideration,
16 not that we're saying they
17 should go in there, but these
18 were thoughts that they came up
19 with, so we should look at it,
20 think about it.

21 From my perspective, the
22 simpler and smoother and less
23 complex the reg is, the easier
24 it is to explain and get through

ALEX ASSOCIATES 261
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 the process.

2 But if you look at the
3 original pediatric section of
4 the trauma regs, they were
5 simple to the point of not being
6 able to accomplish what we
7 should be able to accomplish
8 now, which is to build a more
9 robust pediatric service or
10 center.

11 So there is a different
12 purpose to the current rewrite.
13 And so look at these from the
14 perspective of if a trauma
15 center is going to accept a
16 major trauma child, this really
17 addressed what needs to be in
18 place and comment in that
19 fashion.

20 DR. COOPER: One other
21 comment that I neglected to
22 mention in the beginning of my
23 remarks was there was a pretty
24 clear sense that the overriding

ALEX ASSOCIATES 262
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 principle should be to get a
2 major pediatric connotation to a
3 pediatric trauma center via
4 primary transport whenever
5 possible without having to rely
6 on secondary transport
7 interfacility, if the time and
8 circumstances permit.

9 We have for a very long
10 time had circumstances in many
11 parts of the state where kids
12 are transported to a nearby
13 facility and then essentially
14 automatically transported on to
15 a larger center when they were
16 close enough to a larger center
17 to get there safely. And I
18 think all thought that was
19 probably not the way we wanted
20 to go in the future.

21 DR. KANTER: I think
22 you've done a great job and I
23 think item five deals nicely
24 with the contingencies about

ALEX ASSOCIATES 263
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 primary versus secondary
2 transport. I wonder -- I can't
3 remember the discussion and I
4 wonder if you could just make a
5 comment on number eight, the
6 interhospital transport when
7 that is necessary. Many centers
8 deal with this by using a local
9 non-pediatric specialized
10 transport service that is
11 readily available from a
12 referring hospital, because to
13 wait for the specialized
14 pediatric service would add a
15 good deal of delay time. You
16 cover that by saying it depends
17 on the individual circumstances,
18 but I wonder if you could add
19 any stronger emphasis on that?

20 DR. COOPER: Sure. Good
21 point. Good suggestion.

22 DR. LILLIS: I had the
23 same concern, particularly --

24 COURT REPORTER: Could you

ALEX ASSOCIATES 264
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 speak into the microphone,
2 please?
3 DR. LILLIS: I had the
4 same concern, particularly the
5 way it's worded that they should
6 use specialized pediatric
7 transport wherever and whenever
8 available. The things before
9 that take into account --

10 COURT REPORTER: I'm
11 sorry. I can't hear you.

12 DR. LILLIS: It should be
13 cleaned up a little -- lightened
14 up a little bit.

15 DR. KANTER: This is one
16 of the things where the more
17 explicit you are in your
18 guidelines, sometimes you tie
19 people's hands. And to
20 emphasize, a lot of these
21 decisions are made on an
22 individual case basis.

23 DR. COOPER: Absolutely.
24 Obviously you're all seeing

ALEXY ASSOCIATES 265
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 these for the first time today
2 and there is, you know, no
3 intent, obviously, to do
4 anything other than to look at
5 them today for your thought and
6 review and comments over the
7 next few months. I don't
8 suspect that we will be wrapping
9 this up even with the STAC
10 before we meet again, so I think
11 we'll have an opportunity to --
12 to look at these in more detail
13 next time and make the --

14 I will -- I will take it
15 as the sense of the committee
16 that the change that you and
17 Kathy have recommended is the
18 one that should be made and I'm
19 seeing everyone nod "yes" so
20 we'll make that change and that
21 will become draft 2.1.

22 And with your permission,
23 I'll also follow the advice
24 relayed from Martha that we

ALEXY ASSOCIATES 266
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 might want to include something
2 about burns, rehab, injury
3 prevention and possibly disaster
4 management, as well, but in a
5 very general way so as to follow
6 the advice of the federal
7 context, but at the same time,
8 not make these regs too
9 burdensome.

10 DR. LILLIS: I've just got
11 a question about pediatric
12 sub-specialties -- was there any
13 discussion about that?

14 DR. COOPER: There really
15 wasn't extensive discussion
16 about pediatric sub-specialists
17 except to note that there are no
18 more in the traditional sense
19 for pediatric neurosurgery or
20 pediatric orthopedic --
21 orthopedic surgery.

22 While the truth of the
23 matter is that most pediatric
24 neurosurgeons are far more

ALEXY ASSOCIATES 267
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 interested in tumors and shunts
2 and most pediatric orthopedists
3 are far more interested in
4 complex congenital
5 reconstructive work than they
6 are in taking care of trauma
7 patients. And a neurosurgeon
8 who cares for a fair amount of
9 trauma and an orthopedist who
10 cares for a fair amount of
11 musculoskeletal injury in adults
12 as well as children probably can
13 do as good as and perhaps a
14 better job than a pediatric
15 specialist who's really focused
16 on congenital issues.

17 But other than -- other
18 than that, there wasn't really
19 extensive discussion and that's
20 why I just suggested leaving the
21 language more or less the way it
22 was at the top of page three.

23 Although I think, Kathy,
24 your point that this particular

ALEXY ASSOCIATES 268
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 wording refers only to the
2 anesthesiologist who probably
3 should refer to the neurosurgeon
4 or the orthopedist and other
5 specialists and you know -- and
6 just say they should be
7 experienced and really kind of
8 highlight -- not that it really
9 changes the meaning. It's an
10 inclusive phrase, but by
11 highlighting neurosurgery,
12 orthopedics and anesthesia is
13 the focus that we really need it
14 to be. A good suggestion.

15 MR. WRONSKI: If I could
16 just comment. In a separate
17 discussion that occurred with
18 our neurosurgical board member
19 in trauma, the issue came up as
20 a sideline about pediatric
21 neurosurgery. The big issue was
22 availability. There is simply
23 not many and then there is
24 simply not many who have enough

ALEX ASSOCIATES 269
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 children to concentrate in that
2 area.

3 And so the general
4 thinking of the state trauma
5 committee was we don't want to
6 put something in that's so
7 restrictive because it doesn't
8 exist. You wouldn't find it in
9 most regions. And so they were
10 more comfortable with the idea
11 that the neurosurgeon or
12 whatever specialty it was had
13 some experience with children
14 and could show that and could
15 treat children but they didn't
16 want to necessarily mandate some
17 things.

18 They're having a hard
19 enough time getting
20 neurosurgeons to be available
21 for trauma without having --
22 putting in the pediatric
23 neurosurgery. It just may not
24 be there.

ALEX ASSOCIATES 270
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 DR. COOPER: Thanks Ed for
2 bringing that point up. That
3 was another critical issue that
4 was discussed, yes. Okay.

5 Obviously, this process
6 will remain open until the regs
7 are published in the state
8 register. There is not only our
9 internal comment period among
10 ourselves before we refer this
11 to STAC, but there is also their
12 internal comments process as
13 well as when it finally goes to
14 the State Hospital Review and
15 Planning Council, their process
16 followed by a public comment
17 period. So this is hardly the
18 last time you will have an
19 opportunity to look at this and
20 comment on it. But obviously
21 the sooner the comments come
22 forward, the sooner we get them
23 incorporated and the sooner we
24 can move on to other issues that

ALEX ASSOCIATES 271
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 are equally if not of more
2 importance.

3 MS. GOHLKE: If you think
4 this warrants a conference call,
5 if you'd like to sit down one
6 more time as a group and talk
7 about it, we can do that too.

8 DR. COOPER: Sure. Why
9 don't you do that. I will ask
10 that if you have comments about
11 the regs other than the ones
12 we've already mentioned, that
13 you e-mail them to me, obviously
14 with a copy to Martha, and if
15 you feel that there are -- there
16 are -- there is a need for us to
17 communicate via conference call,
18 let us know and we'll be happy
19 to --

20 MS. GOHLKE: I know a
21 couple of people wanted to be at
22 the January meeting but couldn't
23 make it. So those folks that
24 wanted to be a part of this, as

ALEX ASSOCIATES 272
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 well.
 2 DR. COOPER: All right.
 3 Before we move to the updates
 4 from our sister committees, I'd
 5 like now to return to the one
 6 item of new business that we
 7 deferred from earlier in the
 8 meeting and then ask Brian if he
 9 would just briefly comment on
 10 the status of the trauma report.
 11 In fact, why don't we ask him to
 12 do that first. Brian, if you
 13 could comment on that briefly,
 14 where we are with that.

15 MR. GALLAGHER: Sure. The
 16 committee -- sort of a
 17 sub-committee, which was formed
 18 to look at the question of
 19 updating what is now a little
 20 bit out of date stuff, pediatric
 21 trauma reports, which was
 22 produced a number of years ago.
 23 The committee met and
 24 discussed a couple of different

ALEX ASSOCIATES 273
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 trauma data, it was decided that
 2 the 2002 to 2006 period would be
 3 appropriate for a report. So
 4 what I did, with conjunction
 5 with Martha, was basically take
 6 the NTBDs 2006 pediatric trauma
 7 report, which contains '02 to
 8 '06 national data, reproduced
 9 that and put New York State 2002
 10 to 2006 data side by side with
 11 the national data so that we
 12 could see what type of
 13 differences there were between
 14 New York State and the nation.

15 And there is a variety of
 16 tables, distribution of cases,
 17 morality -- mortality, method of
 18 injury, different organ systems.
 19 And these were all broken up
 20 into two age categories, zero to
 21 fourteen and fifteen to
 22 nineteen.

23 So I think the product is
 24 a good starting point for a

ALEX ASSOCIATES 275
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 approaches to putting together a
 2 pediatric trauma report.
 3 Originally, the thought was we
 4 might follow the same format as
 5 the previous report, but after
 6 some consideration by the
 7 sub-committee, it was decided
 8 that trying to use the format
 9 which is in place by the
 10 national trauma data bank would
 11 probably be the best approach,
 12 so that data which is available
 13 from New York State will be
 14 readily comparable to the
 15 national data.

16 So the NTBD data, which is
 17 not comprehensive -- not that
 18 every trauma case in the country
 19 is contained in that data set,
 20 but it is a representative
 21 sample of national data and
 22 that's the format that was used.

23 And based on the
 24 availability of New York State's

ALEX ASSOCIATES 274
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 discussion of how New York State
 2 pediatric trauma data,
 3 relatively contemporary data,
 4 compares to the nation. And
 5 hopefully it will be helpful for
 6 this body and others who are
 7 interested in the outcome.

8 DR. COOPER: Thank you,
 9 Brian. Any questions for Brian?
 10 Brian, do you have any kind of
 11 rough timetable for us?

12 MR. GALLAGHER: Yes.
 13 Martha has the products now.

14 DR. COOPER: Great.

15 MS. GOHLKE: I'm sorry. I
 16 missed the question.

17 DR. COOPER: A rough
 18 timetable on getting the
 19 reports.

20 MS. GOHLKE: Ed?

21 MR. WRONSKI: I got to see
 22 the report during the week and
 23 it is very interesting. It does
 24 show some differences with the

ALEX ASSOCIATES 276
 COURT REPORTING SERVICES, LLC
 (518) 798-6109

1 national data, but whether it
2 does or not, some of the tables
3 are interesting to look at for
4 what we're seeing for children.

5 So we can share the draft
6 -- that particular draft with
7 you. We will send it out by
8 e-mail. We'll send it out for
9 the members to take a peek at
10 it, give us some comments.

11 What we're going to be
12 doing is coming up with some
13 narrative to kind of surround
14 it, say a couple things about
15 the kids. There may be some
16 things that we want to point out
17 in the data set. There may be
18 some things there that we really
19 think you should take a look at.

20 But what I'd ask -- what
21 we're looking to do is try to
22 get this out as soon as
23 possible. It doesn't mean we
24 can't still work with data, but

ALEXY ASSOCIATES 277
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 what I'd like to do is have a
2 report in a somewhat finalized
3 form so that it's out and
4 released sometime this summer,
5 if possible. That's optimistic,
6 but if we can get your comments,
7 write our narrative, send it up
8 the chain and get a letter from
9 the Commissioner to support it,
10 we can have this out as a report
11 that would be a partnership --
12 and make it a very clear
13 partnership between us, the
14 Department, the School of Public
15 Health and the EMSC committee
16 and obviously the State Trauma
17 Advisory Committee and the
18 trauma centers, where much of
19 this data can come from. And I
20 think that would be useful to
21 just promote, you know,
22 pediatric issues and kids issues
23 in the state.

24 DR. COOPER: Terrific. So

ALEXY ASSOCIATES 278
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 we'll look for that in the
2 e-mail. And we'll ask that any
3 comments that are forthcoming be
4 circulated back to Martha so she
5 can collate that and then the
6 group that got together to look
7 at the report or the basic
8 structure of the report will
9 review that and consider what
10 changes, if any, need to be
11 made. Okay. Does that make
12 sense? Good. Okay. Moving
13 right along.

14 The last issue under new
15 business that I have is to
16 revisit the issue that we led
17 the meeting off with earlier
18 this morning and that is the
19 issue of the addition of
20 glucocorticoids in prehospital
21 protocols.

22 I will just invite you, if
23 you would, to look behind the
24 agenda in your packet and you'll

ALEXY ASSOCIATES 279
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 see an e-mail from Rita Molloy,
2 who couldn't be with us today,
3 but has concerns about -- about
4 the issue and wanted to bring
5 this to our attention. I think
6 you all have that. Yes.

7 So I'll just give you a
8 moment to read it, but in short
9 she is arguing that we need a
10 systematic approach that
11 involves those responsible in
12 the schools as well as others.

13 MS. BRILLHART: When I
14 read this, what I see is having
15 been involved in developing some
16 school plans, section 504(b)
17 plans -- when I read the e-mail,
18 I see you're saying that school
19 nurses aren't actually mandated
20 in New York State. So the only
21 way to make sure that you can
22 legally carry through the
23 section 504 plan would be to
24 make sure that if EMS is

ALEXY ASSOCIATES 280
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 involved they could carry though
2 that plan. Because school
3 nurses aren't mandated, then how
4 can you make sure that can
5 actually be administered if it's
6 not in place in EMS?

7 I think she's saying, Hey,
8 yeah -- you know, for this
9 legally-mandated school plan
10 that we have in place. For the
11 kids who are very allergic, we
12 have epi-pens on the ambulances
13 now. We have never carried
14 through on a 504 plan for a kid
15 with adrenal insufficiency
16 unless it's put in place with
17 EMS.

18 DR. COOPER: That's what I
19 meant by having a -- you know, a
20 system approach, but you said it
21 much better than I did, so thank
22 you.

23 DR. KANTER: Well, when
24 you think about emergency plans

1 and emergency medical services,
2 it's a continuum which begins in
3 the community, at home, in the
4 school, wherever and includes
5 responders at the scene at
6 various levels. And then a very
7 short time later involves a
8 hospital.

9 The question is what needs
10 to be done immediately at the
11 scene and what needs to be done
12 in the steps after that. And
13 among countless needs, how do we
14 realistically apply -- I don't
15 want to restate too many things
16 that we all said this morning,
17 but I really believe that this
18 particular one from all the
19 scientific evidence I know, the
20 real issues are what's the shock
21 algorithm that takes care of it
22 at the scene in the first
23 minutes. And if you know, that
24 part of the origin of the shock

1 is hypobulemia, hypoglycemia,
2 and adrenal insufficiency, it
3 seems to me that it's perfectly
4 reasonable for the provider at
5 the scene to give hydrocortisone
6 or Solu-Medrol or whatever
7 they've got. But it doesn't
8 change the fact that the
9 immediately life-threatening
10 issue is hypobulemia
11 hypoglycemia.

12 And with respect to some
13 of the things we heard this
14 morning, I'm not sure about the
15 scientific evidence of
16 myocardial function. I think
17 hypobulemia affects myocardial
18 function and turning somebody
19 around with a dose of steroids
20 alone, I don't think there's a
21 lot of evidence to support that.

22 DR. HALPERT: I would
23 chime in on your heels, too, in
24 terms of more of a reality

1 check. You know, it's not a
2 known person with adrenal
3 insufficiency walking around who
4 suddenly is flat out on the
5 ground near death. It's a kid
6 that's been sick with a
7 significant stressor that's been
8 imminent, dwindling, lingering,
9 involved and haven't been
10 properly cared for for whatever
11 reason.

12 Typically, if they're
13 known to have adrenal
14 insufficiency and they're on
15 medication for that based on
16 their known -- they are told if
17 you get sick, you develop a
18 respiratory infection, you
19 double your dose and you call us
20 kind of a thing.

21 People with this kind of a
22 problem really -- they're from
23 out of area, they've lost access
24 to medication, they don't speak

1 the language, things like that
2 where they have not been able to
3 get access to the proper care
4 for a fairly protracted period.
5 Maybe not weeks. Maybe -- it
6 could be hours, it could be
7 twelve hours, twenty-four hours,
8 but it's not two minutes.

9 And that's why it's kind
10 of like my point of -- Sharon
11 brought up the point of shock
12 protocol. You know. You give
13 that medication as part of your
14 evolutionary workup and
15 treatment of the presenting
16 problem, but it's probably not
17 the first thing you're going to
18 give.

19 It's going to be saying,
20 well, this person's not getting
21 better at the scene. Let's give
22 them a course of glucocorticoids
23 --

24 COURT REPORTER: Could you

1 use the microphone? I'm having
2 a hard time hearing you.

3 DR. KANTER: I worry that
4 if you have a standalone
5 protocol for adrenal
6 insufficiency, the implication
7 is he's sick, we give him the
8 hydrocortisone, he's all better
9 now. When in effect, what he
10 really has is a ruptured spleen
11 or septic shock or, you know,
12 anything else in the textbooks.

13 DR. COOPER: I haven't
14 reviewed today's blue packet in
15 detail. The same presentation,
16 if not identical but close to
17 the same presentation was given
18 at SEMAC a couple weeks ago.
19 And as I understand the Rhode
20 Island protocol -- I read it at
21 that time; I haven't read this
22 one today -- if memory serves me
23 correctly, the Rhode Island
24 protocol is basically to give

1 glucocorticoid to people wearing
2 a bracelet. It's not give it to
3 anybody that presents in shock.

4 So my own personal view on
5 that is that this could be
6 handled by some kind of note or
7 footnote or caution box -- we do
8 like those caution boxes in
9 protocols. You know, that said,
10 Hey, if you've done everything
11 else in terms of shock
12 management and the person's
13 wearing a bracelet that says
14 they're adrenally insufficient,
15 give glucose and glucocorticoids
16 or considering giving glucose
17 and glucocorticoids. The
18 question, I think, is whether --
19 whether that would require
20 medical control or not, and my
21 thought would be yes, primarily
22 because you have the extra time
23 to -- the extra time in the
24 sense that it takes a while for

1 you to get, you know, the fluids
2 started and so on, which is far
3 more important.

4 People don't normally
5 think about giving glucose in a
6 shock protocol, and in fact, you
7 know, sugars are high enough in
8 many trauma patients already.
9 And as we all know, sugar would
10 ordinarily not be given in shock
11 protocol in part for that
12 reason.

13 So I think that perhaps
14 our best advice might be to add
15 some kind of caution -- as I
16 said, some kind of caution or
17 note or something in that
18 protocol that says if you got
19 the silver bracelet give -- give
20 resuscitation dose of glucose
21 and resuscitation dose of
22 glucocorticoid. And it doesn't
23 matter to me which one it is.
24 Most services are carrying

1 Solu-Medrol for asthma patients
2 and in some cases COPD patients.
3 But I don't think we should get
4 into Solu-Medrol for shock --
5 septic shock in the field. That
6 comes much, much later. This is
7 really the only time that you
8 really can give it and I happen
9 to share your concerns about
10 that -- your statement that the
11 evidence about the overwhelming
12 improvement in myocardial
13 contractility perhaps is not
14 quite as great as was stated
15 this morning and does appear to
16 be more volume related, or -- so
17 those are my views. Kathy?

18 DR. LILLIS: I guess I'm
19 -- I would disagree a little bit
20 with Jon. In fact, I have seen
21 patients who have quickly
22 deteriorated, for example,
23 patients with vomiting where
24 they can't get their oral doses

ALEX ASSOCIATES 289
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 kits -- and right now -- or at
2 least in a school setting or on
3 a field trip or wherever where
4 it's not easily administered and
5 people who are trained well to
6 administer.

7 I guess I would advocate
8 that we do work with the EMS
9 system to help these families
10 that may be in situations,
11 because I think it can be
12 life-threatening. I think it
13 can make a difference by
14 administering this early and I
15 would advocate trying to have
16 the EMS system help deliver the
17 patient's own meds.

18 DR. COOPER: You think it
19 should be the patient's own
20 meds?

21 DR. LILLIS: I think as a
22 first step. I understand the
23 ramifications of trying to
24 implicate this statewide and

ALEX ASSOCIATES 291
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 at home.

2 DR. HALPERT: Yeah, but
3 they're sick with vomiting.

4 DR. LILLIS: But I have
5 seen some -- and who come in
6 blue and in shock and have very
7 quickly deteriorated before my
8 eyes.

9 I think that there's a
10 couple -- lots of different
11 steps from having all paramedics
12 carrying it. And I particularly
13 don't think that we're there,
14 but I think there are things
15 that need to be put in place,
16 particularly with the patients
17 who are known -- who have,
18 whether it's the ID bracelet or
19 some kind of identifying
20 protocol at home where the
21 paramedic facility can assist
22 with the distribution of their
23 own medication, because I think
24 most of these kids carry their

ALEX ASSOCIATES 290
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 having all agencies carry this.
2 An easy solution would be -- at
3 least step-wise and we'll see
4 how that goes, but training,
5 educational programs.

6 But I think -- at least
7 when I investigated it at my
8 hospital with my pediatric
9 endocrinology department, they
10 felt that all patients that are
11 followed by their division all
12 have medication at home readily
13 available. And I think EMS can
14 be helpful in helping us --

15 DR. KANTER: Well, as an
16 educational initiative or a
17 statewide improvement
18 initiative, there is no question
19 that you want to treat adrenal
20 insufficiency in an effective
21 way. And I don't know what the
22 rules are about using a family's
23 own medications, if that is an
24 authorized thing to do. Maybe

ALEX ASSOCIATES 292
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 it would be a good idea to work
2 on that. But as you said the
3 responders do have some kind of
4 glucocorticoid with them,
5 typically, most especially for
6 asthma and we ought to use that
7 if it appears to be indicated.
8 I think it's more important --
9 far more important for asthma
10 than it is for --

11 DR. COOPER: Absolutely.

12 DR. KANTER: And by the
13 way, adrenal insufficiency
14 should be suspected in a whole
15 lot of other patients that just
16 --

17 DR. COOPER: Sure.

18 DR. KANTER: So it is
19 important and an educational
20 initiative probably could be
21 beneficial.

22 But I just want to say,
23 again, if you look at the
24 presentation, you get the idea

ALEX ASSOCIATES 293
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 that the doses of hydrocortisone
2 is the only step in intervention
3 and I don't believe that's true.
4 I think the whole advanced life
5 support algorithm pertains here.

6 MS. BURNS: Speaking as
7 just a representative of the
8 statewide system, I just --
9 there are about 1,200 EMS --
10 certified EMS services in the
11 state. Just about -- just under
12 700 of them are advanced life
13 support. There are 6,000 plus
14 or minus a few paramedic level
15 providers.

16 At the risk of sounding
17 callous, or as one of my
18 colleagues say, have hair on my
19 heart, one of the things that I
20 do in our office and with the
21 state council is just to remind
22 you that in our last data year
23 there were 2.7 million EMS
24 calls. While I appreciate

ALEX ASSOCIATES 294
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 intensely this situation, we are
2 routinely faced with these kinds
3 of things.

4 We should be carrying
5 factor five on our rural
6 ambulances because we had an
7 incident up in the Adirondack's
8 where a patient didn't have time
9 before he went on vacation to
10 get his factor five and he was
11 in a small watercraft crash.

12 We've had the Marfan's who
13 contact us and demand that we do
14 training on Marfan's and triple
15 A situations.

16 So, you know, I think we
17 have to appreciate the fact that
18 -- and be sensitive to the needs
19 of these people, but remember
20 that the volume of EMS responses
21 are such that I'm afraid we're
22 not going to capture the
23 imagination or interest of our
24 EMS providers.

ALEX ASSOCIATES 295
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 And I think starting with
2 your group, not to mislead you
3 in any way, you direct us and we
4 will do it, but you need to
5 understand the likelihood that
6 we send out stuff from the
7 hemophilia group -- they had a
8 group at our conference and
9 we've done a lot of this.

10 But when an ambulance
11 service looks at their call
12 volume, they're treating chest
13 pain, chest pain, chest pain,
14 chest pain. They're
15 unfortunately -- much to their
16 horror, they're not treating
17 trauma, trauma, trauma, trauma
18 and pediatric victims of adrenal
19 insufficiency. So I think that
20 you have to put it into
21 perspective.

22 MR. CZAPRANSKI: Can I
23 comment? It would be nice to
24 have an AED on every ambulance

ALEX ASSOCIATES 296
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 first.

2 DR. HALPERT: It would be
3 nice to have an EMT on every
4 ambulance first.

5 MR. CZAPRANSKI: This is
6 an ALS protocol. Would it make
7 sense to turf this to the SEMAC
8 to say, Give this to the
9 regional council's as a review
10 and consideration for their
11 regions, because I think those
12 regional councils and those
13 REMACs were made of physicians
14 and EDs in various hospitals
15 that can look at the frequency
16 and utilization and then
17 determine if this consideration
18 is correct.

19 I like the way that Sharon
20 mentioned it, it ought to be
21 under the shock protocol, which
22 it is in the State of Ohio, in
23 their shock protocol, pediatric.
24 One thing about the Rhode Island

ALEX ASSOCIATES 297
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 thing, Providence plantations.

2 DR. HALPERT: It goes way
3 back.

4 MS. CHIUMENTO:
5 Interestingly enough, one of the
6 things that the Medical
7 Standards committee is looking
8 at is having regional options.
9 And I think this would fit
10 perfectly into that segment. So
11 at the bottom of the protocol,
12 have things everybody does for
13 shock. And down at the bottom,
14 regional options might include
15 this and it would give the
16 regions the options.

17 DR. HALPERT: I don't want
18 to over-simplify this, but, you
19 know, paramedics are equipped to
20 manage patients in shock.
21 Paramedics are equipped to
22 utilize injectable
23 glucocorticoids. It's real
24 simple.

ALEX ASSOCIATES 298
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 MS. BRILLHART: Can I ask
2 a completely naive question? If
3 they respond and one of the kids
4 has CAH and he's got a medical
5 protocol there and he's got his
6 meds, but the school nurse isn't
7 on the premises so there's
8 technically nobody else that can
9 do that, what's the current
10 standard? The paramedics just
11 have to say no, we can't and
12 scoop and go?

13 DR. HALPERT: Call medical
14 control.

15 DR. COOPER: They could
16 get a discretionary decision.

17 DR. HALPERT: Right.

18 MS. BRILLHART: Okay.
19 Because I guess the feeling --
20 the first thing that Rita's
21 talking about is there is a
22 medical plan in place. It's
23 signed off by the doc. It's
24 signed off by the parents. It's

ALEX ASSOCIATES 299
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 signed off by the school. The
2 kid has their own meds. But the
3 person that can do it isn't in
4 today and they're calling for
5 help.

6 MR. WRONSKI: There is
7 always -- particularly an
8 advanced life support provider
9 can always call medical control
10 and get authority to use these
11 meds. In those areas where
12 sometimes medical control isn't
13 available, sometimes a provider
14 -- an advanced life support
15 provider will punt and decide to
16 do that. But rather than have
17 them punt, I think that what can
18 happen here -- and what I'm
19 hearing is that there's a
20 consensus, including from my own
21 staff, that there are things in
22 place to deal with the broader
23 spectrum of what's happening to
24 these patients and they're

ALEX ASSOCIATES 300
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 included within that broader
2 spectrum.

3 But I don't think there's
4 an argument that if you knew
5 this patient, for instance, had
6 a bracelet or you knew they were
7 suffering from this, then that
8 would be part of your decision
9 scheme as to how to treat them.
10 So if you knew they had adrenal
11 insufficiency, you could build
12 that into your thinking process.

13 And what I would ask is
14 that we do develop some
15 education that we can share with
16 our REMACs, we develop local ALS
17 protocols and provide them with
18 that information.

19 I was just -- I was given
20 a note, and I don't know how we
21 got this so quick. I'm always
22 impressed. The only four
23 regions -- four regional REMACs,
24 and there are sixteen REMACs

ALEXY ASSOCIATES 301
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 you're walking into. So they
2 should know the plans if
3 possible.

4 MS. BRILLHART: I was just
5 kind of leaning towards or
6 thinking about if we have the
7 section 504 plan and we can't
8 back it up with EMS then who are
9 the parents going to sue? The
10 school? EMS? The pediatrician
11 who signed off on that but it's
12 not carry-outable (sic)?

13 I was thinking if the
14 stuff falls through the cracks,
15 who's in trouble? You know what
16 I mean? Having been somebody
17 who has been part of setting up
18 a training process for a 504
19 plan. That's all. I was just
20 thinking of keeping people out
21 of trouble.

22 DR. COOPER: I guess my
23 general sense is that -- I guess
24 my general sense is that we can

ALEXY ASSOCIATES 303
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 medical groups, have Solu-Medrol
2 in their ALS protocols out of
3 the sixteen. So it may be
4 something to bring this up as --
5 should all the sixteen regions
6 consider having that within
7 their protocols, not simply for
8 this but for other reasons and
9 have a discussion with the SEMAC
10 on that and give advice in that
11 regard. I would also certainly
12 include it in the education and
13 outreach.

14 What we've done before,
15 EMS should be in touch with both
16 families and schools in their
17 area for any specialty issues
18 regarding children. Are there
19 people in your community or even
20 adults who have certain types of
21 diseases or maladies that you
22 should know about? So if you're
23 going to a certain street and a
24 certain house, you know what

ALEXY ASSOCIATES 302
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 finesse this. You know. This
2 is one of those -- let me give
3 an example from New York City.

4 There is some data from
5 the fire department that
6 suggests that if a case occurs
7 frequently enough, that there
8 are some folks in the office of
9 medical affairs who are hellbent
10 on having a protocol
11 specifically for those kids as
12 separate from pediatric shock
13 protocol. This, to me, is sort
14 of like that issue. You know.
15 It's something that occurs with
16 enough regularity in enough
17 places and the treatment for it
18 is standardized enough that the
19 system ought to be able in some
20 way if not to prescribe the
21 treatment at least not obstruct
22 it. And on some level perhaps
23 facilitate it under the
24 appropriate circumstances.

ALEXY ASSOCIATES 304
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 And that it's not clear to
2 me that that means that we want
3 to make every single region have
4 to come to the SEMAC asking for
5 a special exemption to be able
6 to do this if they were to
7 choose to do it.

8 To me, I think we can -- I
9 think we can do this in a way
10 through some kind of note or
11 something along the lines as a
12 medical control thing if you got
13 the silver bracelet on and
14 you're treated for shock, give
15 glucose and consider
16 glucocorticoids under medical
17 control. Something along those
18 lines that would, you know, not
19 be encouraging people to rely on
20 that as a primary treatment but
21 it wouldn't really obstruct
22 anybody from doing it if there
23 was a legitimate need to do so.

24 So I think that's kind of

1 the approach we should take. We
2 have a saying down in New York
3 City about our pediatric
4 protocols. Refer to them as
5 conservative yet permissive.
6 They're very conservative in
7 terms of stressing BLS, but we
8 don't block appropriate ALS
9 treatments when the situation
10 calls for them.

11 So to me this falls into
12 that category very nicely. We
13 want to be conservative but we
14 do want to be permissive here
15 and not obstructive.

16 So I'd like to suggest
17 that we craft a letter to the
18 SEMAC which I will circulate to
19 everybody and see if we can
20 capture that flavor. Sharon.

21 MS. CHIUMENTO: In some
22 ways, it's very similar to what
23 we did with epi-pens.
24 Originally, when the epi-pens

1 became available, it was for BLS
2 and then for ALS, but it is the
3 same kind of things. First we
4 said, well, if patients had
5 their own epi-pen, we could
6 administer. And then we said
7 agencies or regions could then
8 allow agencies within their
9 region to carry epi-pens. So
10 this is a very similar type of
11 thing. It's step-wise. You're
12 not forcing everyone to carry
13 the epi-pens, but you're
14 allowing them to use what's
15 there in a patient's own
16 possession and/or to carry it if
17 their own region approve it.
18 It's a very similar process.

19 DR. COOPER: It's
20 permissive rather than --

21 MS. WALDEN: I'd just like
22 to add one thing and that is
23 that parents have a certain
24 responsibility in this as well

1 as all patients do, also, and
2 that is to wear a medic-alert
3 bracelet or to notify their
4 squad that they have this
5 condition. And in that
6 instance, they can sign a paper
7 authorizing the squad to give
8 the medication if the squad
9 carries it or provide a sample
10 or a vial that can be stored for
11 that child.

12 They tell you this is the
13 most important thing is
14 communication, communication,
15 communication, but I still think
16 we have to put some
17 responsibility back to the
18 parents.

19 DR. COOPER: I do think
20 that limiting this to patients
21 that have a silver bracelet on
22 their arms is -- I think in a
23 way is the enforcer of gradual
24 responsibility. I mean, you're

1 absolutely right. It isn't just
2 the bracelet relying on the
3 world to take care of the child.
4 There is a whole lot more than
5 that. In the very least, if
6 there's a bracelet on -- I'm
7 sure that in your experience, as
8 in mine, if parents go to that
9 length to protect their child,
10 they usually go to other
11 lengths, as well.

12 MS. BRILLHART: Dr.
13 Cooper, if I can make a friendly
14 amendment?

15 DR. COOPER: Sure.

16 MS. BRILLHART: Knowing
17 children as we do, they leave
18 the house in their nice
19 conservative tops and get to
20 school with something completely
21 different on because they stored
22 it at their friend's house.

23 I'd like to add a friendly
24 amendment that they have a

ALEXY ASSOCIATES 309
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 silver bracelet or a signed
2 section 504 plan in place.

3 DR. HALPERT: Or a tattoo
4 on their body.

5 MS. BRILLHART: Yes.

6 MR. CZAPRANSKI: I think
7 add, or other appropriate
8 identification of their medical
9 condition.

10 MS. CHIUMENTO: I'm
11 thinking also of the forms that
12 Ruth showed us -- the pocket
13 fold-up forms -- any of those
14 forms that would identify the
15 patient has a problem.

16 MS. WALDEN: Those forms
17 are now on our website, as well.

18 MR. WRONSKI: I
19 congratulate the committee for
20 figuring out a way to support
21 President Obama's parental
22 responsibility initiative.

23 DR. COOPER: Okay. Very
24 good. So I take it then that I

ALEXY ASSOCIATES 310
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 will craft a letter that
2 reflects the sense of the
3 committee in this regard, which
4 I will circulate to everyone
5 before it goes out so that
6 people can, you know, make
7 comments, agree or disagree,
8 what have you. And if there is
9 not substantial agreement, we
10 will bring it back next time.
11 Is that fair? Okay. Good.

12 MS. GOHLKE: I just want
13 to mention -- speaking from
14 somebody who's having an allergy
15 attack and I have to get to my
16 next prednisone application here
17 soon, it is four o'clock. But
18 anyway --

19 MS. ROGERS: Sorry. We're
20 not authorized to do that.

21 MS. GOHLKE: I want to
22 make a suggestion. I know
23 people benefit from the updates,
24 but maybe we can provide it by

ALEXY ASSOCIATES 311
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 e-mail after the meeting.

2 MS. CHIUMENTO: All the
3 things that I had to bring to
4 the committee have been spoke
5 about already.

6 MS. GOHLKE: Okay.

7 DR. COOPER: And likewise
8 for STAC. The key issues were
9 the regulatory issues.

10 MS. GOHLKE: I just want
11 to draw your attention to -- the
12 committee dates are in your
13 folder. Hopefully you already
14 have them and you already have
15 them on your calendar, but the
16 EMSC committee dates are on
17 along with all our other
18 committees for those of you who
19 are on several.

20 And I just wanted to also
21 point out, I sent this around by
22 e-mail, but Dr. Kanter and Dr.
23 Cooper's latest publication is
24 also in hard copy and in your

ALEXY ASSOCIATES 312
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 folders, as well.
2 DR. COOPER: I need to
3 publicly disclaim major
4 responsibility for this. Dr.
5 Kanter was clearly the leader on
6 this project.

7 DR. KANTER: Team effort.

8 DR. COOPER: And I was
9 proud to be a member of the
10 team, but he really -- he really
11 did the work on that.

12 MR. WRONSKI: I did a
13 quick read and I'll read it more
14 carefully, but it looks very
15 good. Very nice.

16 MS. GOHLKE: Our next
17 meeting is June 2.

18 MS. BRILLHART: I was just
19 going to make a motion to
20 adjourn so she can stop typing
21 while we do our nice social
22 stuff.

23 DR. COOPER: Okay. So the
24 next meeting then is June 2nd,

ALEX ASSOCIATES 313
COURT REPORTING SERVICES, LLC
(518) 798-6109

1 right here at the Crowne Plaza
2 Hotel. And hearing no other
3 calls for new business, I'll ask
4 for a motion to adjourn.

5 DR. HALPERT: Yes.

6 DR. COOPER: Thank you all
7 and we will see you on June 2.

8 (Whereupon, the meeting
9 adjourned at 4:06 p.m.)

ALEX ASSOCIATES 314
COURT REPORTING SERVICES, LLC
(518) 798-6109

1
2 C E R T I F I C A T E
3

4 I, Nora B. Lamica, a Shorthand
5 Reporter and Notary Public in and for
6 the State of New York, do hereby certify
7 that the foregoing record taken by me is
8 a true and accurate transcript of the
9 same, to the best of my ability and
10 belief.

11
12
13
14 _____
15 Nora B. Lamica
16

17 DATE: March 24, 2009

ALEX ASSOCIATES 315
COURT REPORTING SERVICES, LLC
(518) 798-6109