

2/1/2024 – E.M.S. for Children – WebEx  
NEW YORK STATE  
DEPARTMENT OF HEALTH  
E.M.S. FOR CHILDREN  
ADVISORY COMMITTEE

DATE: February 1, 2024  
TIME: 1:01 p.m. to 3:56 p.m.  
CHAIR: ARTHUR COOPER  
VENUE: WebEx

Reported by: Cari Roraback

1 2/1/2024 – E.M.S. for Children – WebEx  
2 (The meeting commenced at 1:01 p.m.)  
3 MS. EISENHAUER: We're ready if you  
4 are ready.  
5 CHAIR COOPER: I am ready. I am  
6 unfortunately not in my office though today, Amy.  
7 I'm -- I'm over at Metropolitan Hospital. So I'm  
8 going to ask you, if you don't mind, to sort of help  
9 shepherd us through the agenda. But I'm sure that  
10 the first thing on the agenda is the roll call  
11 followed by approval of minutes. So you've indicated  
12 we have a quorum. And I think you've -- I've gotten  
13 everybody's name who is present, correct?  
14 MS. EISENHAUER: Yep.  
15 CHAIR COOPER: So I guess the next  
16 item on our agenda would be approval of the minutes  
17 from last time.  
18 MS. EISENHAUER: Well, we have to do  
19 the roll call.  
20 CHAIR COOPER: Oh, that's -- that's  
21 what I -- that's what I meant, but go ahead.  
22 MS. EISENHAUER: Okay. So our court  
23 reporter, Cari, is here. And as usual please  
24 announce your name before you speak. And so yes. So  
25 for the whole meeting. Dr. Cooper?

- 1 2/1/2024 – E.M.S. for Children – WebEx  
2 **APPEARANCES:**  
3 Alexa Cappola  
4 Alexander Bleau  
5 Amy Eisenhauer  
6 Arthur Cooper  
7 Becky Baitsholts  
8 Benjamin Kasper  
9 Brandon Rosettie  
10 Bruce Barry  
11 Christina Akey  
12 Daniel Clayton  
13  
14 Drew Fried  
15 Edward Conway  
16  
17 Elise Van Der Jagt  
18 Eric Rowe-Jones  
19 George Stathidis  
20 Gina Wierzbowski  
21 Grace Hennessy  
22 Kate Butler-Azzopardi  
23 Katerina Gaylord  
24 Kevin Albert  
25 Kim Wallenstein  
Jennifer Coldman  
Joseph Pataky  
Marilyn Kacica  
Mike McEvoy  
Nicole O'Toole  
Pamela Feuer  
Peter Brodie  
Peter Dayan  
Ryan Greenberg  
Sharon Chiumento  
Susan Stegich  
Vincent J. Calleo

1 2/1/2024 – E.M.S. for Children – WebEx  
2 CHAIR COOPER: Yes, I am here.  
3 MS. EISENHAUER: Dr. Van Der Jagt?  
4 MR. VAN DER JAGT: I am here. Sorry,  
5 I was on mute.  
6 MS. EISENHAUER: Oh, that's okay.  
7 Bruce Barry? Dr. Albert? Sharon Chiumento?  
8 MS. CHIUMENTO: I am here.  
9 MS. EISENHAUER: Dr. Conway?  
10 MR. CONWAY: Here.  
11 MS. EISENHAUER: Dr. Pamela Feuer?  
12 Dr. Calleo?  
13 MR. CALLEO: Here.  
14 MS. EISENHAUER: Doug Hexel --  
15 MS. FEUER: Present.  
16 MS. EISENHAUER: Oh, thank you, Dr.  
17 Feuer. Doug Hexel had a conflict and he will not be  
18 attending today. Nickol O'Toole? Hang on, Nickol,  
19 we're unmuting your phone. I muted you. So you  
20 might be double muted. There you go. Okay. Nickol,  
21 your phone is unmuted.  
22 MR. VAN DER JAGT: It looks like on  
23 the (unintelligible) it doesn't look like she's  
24 hooked up to audio.  
25 MS. EISENHAUER: She has a separate

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 audio phone call in.  
 3 **MR. VAN DER JAGT:** Oh, okay. Got it.  
 4 **MS. EISENHAUER:** Yeah. Okay. We'll go  
 5 on. Dr. Bombard? Dr. Harris? Chief Pataky?  
 6 **MR. PATAKY:** Good afternoon. I'm  
 7 here.  
 8 **MS. EISENHAUER:** Jason Haag?  
 9 **MR. HAAG:** Jason Haag here.  
 10 **MS. EISENHAUER:** And Ben Kasper?  
 11 **MR. KAPSER:** I'm here.  
 12 **MS. EISENHAUER:** Bruce Barry?  
 13 **MR. BARRY:** Bruce Barry is here.  
 14 **MS. EISENHAUER:** Thank you. Okay. I  
 15 will turn it back over to you, Dr. Cooper. We have a  
 16 quorum.  
 17 **CHAIR COOPER:** Great.  
 18 **MS. O'TOOLE:** Amy, I'm -- can you --?  
 19 **MS. EISENHAUER:** Oh, Nickol is here.  
 20 Awesome.  
 21 **MS. O'TOOLE:** Yep, I'm here. Got it.  
 22 **CHAIR COOPER:** Wonderful.  
 23 **MS. O'TOOLE:** Thank you.  
 24 **CHAIR COOPER:** Wonderful. Okay. I'm  
 25 glad -- glad to know that we have a quorum. And next

Page 5

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 last week. Some of those are local emergency  
 3 departments, which is really who the program is meant  
 4 to help the most. So we're very excited about that.  
 5 There was some discussion at Ped STAC and also at our  
 6 meeting last -- last year, I guess, if we want to  
 7 call it that, but in December about how to promote  
 8 the program, how to get the word out. Right. How to  
 9 reach people. So there are several people that are  
 10 interested in kind of forming a subcommittee between  
 11 two groups. So a co-joined subcommittee between Ped  
 12 STAC subcommittee and E.M.S. for Children Advisory  
 13 Committee.  
 14 So if you're interested in that and  
 15 you haven't already spoken to me please send me an  
 16 email. Probably in the next couple weeks we'll start  
 17 have -- having kind of brainstorming meetings and  
 18 coming up with a plan on how we can all help each  
 19 other, get the word out to best help kids in E.R.s.  
 20 **CHAIR COOPER:** Amy, I'll be happy to  
 21 participate in that as --  
 22 **MR. EISENHAUER:** Awesome. Thank you,  
 23 Dr. Cooper.  
 24 **CHAIR COOPER:** Yeah.  
 25 **MS. WALLENSTEIN:** Yeah. I think you

Page 7

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 on our agenda, as you all know, is approval of the  
 3 minutes from our December meeting. And I will ask if  
 4 someone would be willing to make a motion to approve,  
 5 presuming that there's no additions, deletions, or  
 6 corrections that need to be made.  
 7 **MR. PATAKY:** This is -- this is Joe.  
 8 **CHAIR COOPER:** Go ahead.  
 9 **MR. PATAKY:** I make a motion to  
 10 accept.  
 11 **CHAIR COOPER:** Thank you, Joe. And  
 12 second?  
 13 **MS. CHIUMENTO:** Sharon Chiumento,  
 14 second.  
 15 **CHAIR COOPER:** Thank you, Sharon.  
 16 Thank you. Any discussion, additions, deletions, or  
 17 corrections? Hearing none, any objection to  
 18 approving the minutes as written? Hearing none, the  
 19 minutes are approved. Okay. Amy, take it away.  
 20 **MS. EISENHAUER:** All right. So for  
 21 the E.M.S. for Children Grant Report the Always Ready  
 22 for Children Pediatric Recognition Program is still  
 23 accepting applications. We have six applicants. So  
 24 very exciting. And some of those are -- and we  
 25 talked about this at pediatric STAC subcommittee just

Page 6

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 probably already have my name. Yeah.  
 3 **MS. EISENHAUER:** Yes.  
 4 **MS. WALLENSTEIN:** Yes.  
 5 **MS. EISENHAUER:** Yes. And I feel like  
 6 both of you were going to be volun-told at some point  
 7 anyway. Just --  
 8 **CHAIR COOPER:** Yes. That is fine  
 9 **MS. EISENHAUER:** -- just because  
 10 you've been interested and are in charge of these two  
 11 groups. So for the Pre-Hospital Pediatric Emergency  
 12 Care Coordinator Program that is ongoing, we have  
 13 some exciting things coming up. So I know a few -- a  
 14 few months ago, many months ago, we got a rural  
 15 supplement grant from E.M.S. for Children to build  
 16 some education and build some tools for E.M.S.  
 17 providers to use. So one of those things was a PECC  
 18 kit. So a little bag that can go on the ambulance.  
 19 It would have a pediatric agitation infographic with  
 20 information on how to deescalate children. And  
 21 really, I -- I think the tips are good for anybody.  
 22 And the E.M.S. for Children Innovation and  
 23 Improvement Center had developed that.  
 24 We brought it through the E.D.C.C.  
 25 process, public affairs group made a great new one

Page 8

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 with New York specific you know, logos and things on  
 3 it, and made it purple. Thanks much -- much thanks  
 4 to Kathy for making all my things match. So she made  
 5 it purple for me. So that will be printed soon. We  
 6 just looked at the last proofs last week, some  
 7 communication cards. So Florida, Minnesota, and I  
 8 want to say Kansas, all have been sharing these cards  
 9 with other programs. So we had some made with our  
 10 logo on it. And those, the proofs just went through  
 11 last week also. So hopefully those will be printed  
 12 soon. I already received --.

13 **CHAIR COOPER:** Are we able to show  
 14 that to the committee on -- online at the moment?

15 **MS. EISENHAUER:** They are not up  
 16 online yet.

17 **CHAIR COOPER:** No, I meant -- I meant  
 18 if it's on your computer, I don't know if you're  
 19 allowed to do that.

20 **MS. EISENHAUER:** I do not know if I'm  
 21 allowed to share them yet.

22 **CHAIR COOPER:** Okay.

23 **MS. EISENHAUER:** Because they have --  
 24 I'm sorry?

25 **MS. GREENBERG:** Amy, if you want to

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 Okay. With that being said, I think Amy and I are  
 3 both interested to see what the engagement of them  
 4 are. You know, do they end up, you know, collecting  
 5 dust in an -- someone's pocket somewhere, you know,  
 6 shelf somewhere, or do they find it to be a good tool  
 7 and, you know, something that, that really helps them  
 8 in that communication pathway?

9 So and -- and I also feel obligated to  
 10 say these were borrowed with pride. We don't steal  
 11 things. We borrow with pride from a -- from another  
 12 great E.M.S.C. program down from Florida, who we  
 13 spoke with and said, absolutely, you know, we -- we,  
 14 you know, it -- it is a good thing, and why recreate  
 15 the wheel? So here's our little piece of giving back  
 16 to -- to Florida who gave to us. And so if you do  
 17 get these and they're out there, remember to give  
 18 Florida some credit for it as well.

19 **CHAIR COOPER:** Sure. Nice.

20 **MR. GREENBERG:** This is just a little  
 21 bit, it will get printed into a card set. You'll see  
 22 the -- the round circle there. It will go in a kind  
 23 of a key chain. They're on a more durable -- it's  
 24 not just paper. They're on like a more durable  
 25 thing. I can't remember if we ended up plastic,

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 give an example of what you have the ability to pull  
 3 up one or two of them or pull up the document --

4 **MS. EISENHAUER:** Yep.

5 **MR. GREENBERG:** -- and just  
 6 (unintelligible) do it. I -- I think we are good  
 7 with that.

8 **MS. EISENHAUER:** Okay.

9 **CHAIR COOPER:** Thanks, Ryan. I just  
 10 wanted the committee to get a sense of all the  
 11 incredible hard work that Amy's been giving that.

12 **MS. EISENHAUER:** Well, this certainly  
 13 was --.

14 **MR. GREENBERG:** Yeah. Also, you know  
 15 --.

16 **MS. EISENHAUER:** Go ahead, Ryan.

17 **MR. GREENBERG:** It -- it's a -- it --  
 18 it's a little hard understand what they are unless  
 19 you're literally looking at them to a certain extent.  
 20 And -- and I think this will be an incredible tool  
 21 for a lot of E.M.S. providers as well as something  
 22 that they've never used before too. So it -- you  
 23 know, I think it will take a little getting used to,  
 24 a reminder that it's there, but, you know, have the  
 25 opportunity to, you know, really be helpful to them.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 right? I don't remember if we ended up plastic or  
 3 laminated, but on like a durable plastic thing. And  
 4 essentially it just looks like a card -- picture a  
 5 card set with a ring around it at one end, and, you  
 6 know, gives you the ability to, you know, look at a  
 7 picture. Obviously, you can see that in multiple  
 8 languages. And to -- to keep this on the ambulance  
 9 to help, you know, with communication or things of  
 10 that nature. You know, we talk about this from a  
 11 child point of view, but this is also pretty  
 12 interesting from a -- just the special needs  
 13 population.

14 I think, you know, we -- we think  
 15 children, when we -- we thought about this at first,  
 16 but when we talk about, you know, communication  
 17 barriers or other, you know, sensory things that are  
 18 going on, obviously in a complex situation that, you  
 19 know, these could also be effective in using and  
 20 communicating with. And Amy, correct me if I'm  
 21 wrong, although this is, you know, going out to our  
 22 E.M.S. communities, this could be a great tool for  
 23 our E.R.s as well.

24 **MS. EISENHAUER:** Yep.

25 **MR. GREENBERG:** And you know,

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 something that we can consider helping in -- in that  
 3 part for anyone from an E.R. point of view that would  
 4 be looking forward. And credit where credit is due.  
 5 This is as well as Florida, but this is Amy who  
 6 brought it up here. So she found it, brought it, and  
 7 made it happen. And with the biggest list being,  
 8 getting to our public affairs group, so a -- a  
 9 credit, they did a tremendous amount of work to get  
 10 it through there, but it took a lot of shepherding  
 11 through and answering a lot of questions and -- and  
 12 getting the right approval staff to happen. So we'll  
 13 probably see this in -- by the time production and  
 14 everything else, Amy, do you have a thought on that?  
 15 **MS. EISENHAUER:** So these were  
 16 approved by us and Public Affairs Group has to get  
 17 quotes and do all of that. So they are telling me  
 18 that we will get them by the end of the grant period.  
 19 So we don't have to go through requesting grants for  
 20 it.  
 21 **MR. GREENBERG:** Which for everybody is  
 22 when? When's the end of our grant, Amy?  
 23 **MS. EISENHAUER:** March 31st.  
 24 **MR. GREENBERG:** Okay. We're hopeful.  
 25 **MS. EISENHAUER:** Yes.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 language, but it still conveys the same message. And  
 3 my hope is that this will also be printed and put on  
 4 that laminate paper, just like the P.A.T. document,  
 5 but also this will be available via P.D.F. online and  
 6 our online resources for people to just print out or  
 7 access on their phones.  
 8 **MS. KACICA:** Amy?  
 9 **MS. EISENHAUER:** Yes.  
 10 **MS. KACICA:** This is Marilyn Kacica.  
 11 You know, as you know, we're updating the pediatric  
 12 and obstetrical toolkit.  
 13 **MS. EISENHAUER:** Yes.  
 14 **MS. KACICA:** And I think the resources  
 15 that you just showed are really great and should be  
 16 incorporated into that to make sure that they're  
 17 widely distributed.  
 18 **MS. EISENHAUER:** Oh, that would be  
 19 great. Thank you. I know Brielle from the Capital  
 20 Region Work Group has been keeping me up to date on  
 21 that. And my -- anytime there's been a meeting, I  
 22 have another, like E.M.S.C. specific meeting, kind of  
 23 the same time. So my hope is to be at the next  
 24 meeting to share with them as well. But I'll  
 25 definitely send all of those over to them.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **MR. GREENBERG:** Once we have them,  
 3 we'll, you know, talk about that one.  
 4 **CHAIR COOPER:** That's great.  
 5 **MR. GREENBERG:** Thanks, Amy.  
 6 **MS. EISENHAUER:** Thanks. So also Dr.  
 7 van der Jagt had a question in the chat. I saw it  
 8 pop up and he asked, is there anything for the deaf  
 9 community? Not specifically for the deaf community,  
 10 but those cards could be used with the deaf community  
 11 right, with the pictures and the words. And then on  
 12 the back -- here, I'll just go back to that real  
 13 quick. They also branded a dry erase marker. So it  
 14 will say -- it'll be purple and it'll say E.M.S.C.  
 15 Pre-Hospital PECC. And this area is designed to be  
 16 where you could write on it or wipe it off with that  
 17 dry erase marker.  
 18 And this is the other recently -- this  
 19 is the other recently developed tool on calming peed  
 20 -- agitated pediatric patients. And so the root --  
 21 the root document for this was from the E.M.S.C.  
 22 Innovation and Improvement Center. And so New York  
 23 kind of put our own flavor on it, but all of the  
 24 content and information is from there. They made it  
 25 more plain language instead of more clinical

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **MS. KACICA:** Yeah. And that group,  
 3 Amy, so it -- you know, there's a connection here.  
 4 And we have -- you should have been added to a team  
 5 site too, and all the material will be there.  
 6 **MS. EISENHAUER:** Okay. I'll -- I'll  
 7 double check. Thank you so much.  
 8 **CHAIR COOPER:** Marilyn, if you need  
 9 help from the committee, our committee in terms of  
 10 the vision of the toolkit, please let us know.  
 11 **MS. KACICA:** Oh, sure. Thank you.  
 12 **MS. EISENHAUER:** So other pre-hospital  
 13 tech things, let me go back to the agenda.  
 14 **CHAIR COOPER:** It's great work, Amy,  
 15 by the way. Thank you so much.  
 16 **MS. EISENHAUER:** Oh, thank you. So  
 17 also, just like we have Always Ready for Children  
 18 Pediatric Recognition Program for emergency  
 19 departments, this grant cycle, so this next four  
 20 years, one of the performance measures is to have a  
 21 similar program for E.M.S. agencies. I have been  
 22 working similarly that we did with the Always Ready  
 23 for Children Program. The whole Northeast, all of  
 24 our E.M.S.C. programs are working together to kind of  
 25 build a template that we can then bring back to each

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 of our States and customize with our State's specific  
 3 needs and wants. So as soon as that template is  
 4 done, I will be looking to all of you to form a work  
 5 group to kind of flesh that out and then hopefully,  
 6 you know, put that through the process. And we will  
 7 update the PECC program to put it within that  
 8 pediatric recognition program for prehospital  
 9 agencies.

10 So it'll still be the same PECC  
 11 coordinators and all of that. It'll just be under a  
 12 larger overarching program. So the NASEMSO Pediatric  
 13 Restraint Device Testing Advisory Group. It's so  
 14 many words. So essentially for those of you who  
 15 weren't here last meeting, that is the work group  
 16 that is building testing standard recommendations for  
 17 S.A.E. and it's Tier test, the Pedi-Mates, the  
 18 A.C.R.4s, the adjust restraint device. All of the  
 19 different devices that are available out there would  
 20 be now made to test under this standard. So the end  
 21 of that to see who's -- who has been tested and who  
 22 hasn't, is not for at least another four to five  
 23 years. But we are working hard on the -- on the  
 24 advice on what should be tested and how it should be  
 25 tested.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 just like the E.D. survey, is designed to see how --  
 3 not -- not punitively, but to see how your agency is  
 4 doing so that you can then form a plan to improve.  
 5 So the assessment -- there's a toolkit and this is  
 6 all available online. If you have difficulty finding  
 7 it, I'm happy to send it to you.

8 But the checklist as you can see here,  
 9 is divided into seven different sections. So that  
 10 would be education and competencies for providers,  
 11 which is the same thing we've been doing, providing  
 12 pediatric specific C.M.E. content and then providing  
 13 opportunity for E.M.S. providers to check their  
 14 skills by doing scenarios or simulations or even just  
 15 pulling equipment off the ambulance, going through  
 16 it, making sure they know how to use it. Kind of  
 17 those -- those skill simulations. Do you have the  
 18 right equipment and supplies, all different sizes for  
 19 -- all different size children. Patient and  
 20 medication safety? Do you have patient and family  
 21 centered care in your E.M.S. program? Policies,  
 22 procedures, and protocols, including medical  
 23 oversight?

24 So I think a lot of that is covered.  
 25 Quality improvement or performance improvement, and

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 So that is ongoing. And I know that  
 3 May is kind of our target to have that document to  
 4 S.A.E. And then every year we have an E.M.S. for  
 5 Children Pre-Hospital Survey. And so typically that  
 6 is kicking off at this time of year. They have  
 7 updated the survey to reflect more of that pediatric  
 8 recognition hospital type survey. So this survey  
 9 will have two hundred questions, and I understand two  
 10 hundred questions sounds like a lot, but it's first  
 11 name, last name, address. So some of them are  
 12 demographic information. So probably I would say  
 13 twenty of those questions is just information about  
 14 the agency and the person filling it out. They have  
 15 provided some guidance. I have it. I will share.  
 16 To help E.M.S. agencies prepare for the survey.

17 So this is the preview that they --  
 18 that they have issued and is on the pediatric  
 19 readiness site. So it -- it explains what is pre-  
 20 hospital readiness which has not changed and also why  
 21 pediatric readiness is important. None of that has  
 22 changed. All of this comes from the research that  
 23 E.M.S.C. and the E.M.S.C. Innovation and Improvement  
 24 Center has been conducting all along. The difference  
 25 is the questions that they're asking. So this tool,

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 then interaction with systems of care. So do you  
 3 have interactions with the larger healthcare system?  
 4 So I think some of these things, there will be gaps.  
 5 But I think other things, they are things we're  
 6 already doing. They're just under a different name.  
 7 So a lot of the concern that the E.M.S.C. program  
 8 managers across the country have just been that  
 9 verbiage. So there will be, I think for the first  
 10 year, a lot of interaction, a lot of explanation, a  
 11 lot of discussion with agencies on exactly what are  
 12 they asking, what does this mean? And some of the  
 13 questions seem repetitive. And so we've been working  
 14 with E.M.S.C. and the E.M.S.C. data center to have  
 15 those questions be more clear before this survey  
 16 comes out.

17 So the assessment they estimated it'll  
 18 take thirty to forty-five minutes to complete. As I  
 19 mentioned, some of those questions are things like  
 20 your name, your agency address, what is the phone  
 21 number, what is the email, who should we contact?  
 22 Who are your pediatric emergency care coordinators?  
 23 Things like that, that are -- that are easy to fill  
 24 out. And some of the questions it's, if you said yes  
 25 on this one, then there's a few other questions. If

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 you said no on this one, you might not need to answer  
 3 those questions. So there is some -- some tiers in  
 4 there. So after they complete the assessment they  
 5 will get a report, a gap report. Just like when we  
 6 do the hospital assessment every five years, you get  
 7 a reply with that gap report. And they'll see their  
 8 readiness scores.

9 Just like with the hospital survey, I  
 10 don't get -- I don't get these. Right. This goes  
 11 right to the E.D.C., the E.M.S.C. Data Center. And  
 12 they keep all of it. I only see if you completed it,  
 13 if you completed it halfway, or if you haven't  
 14 attempted it yet, I don't see specifics in there. So  
 15 they only share -- share that so that we can help  
 16 agencies complete the survey, answer any questions,  
 17 share the information, make sure the information got  
 18 to the right person. Maybe the agency changed hands  
 19 where there's different leadership now. So that's  
 20 how the E.M.S. for Children programs help in  
 21 deploying the survey.

22 So they -- they give more information  
 23 on how to -- how to get everything completed. And  
 24 then, of course, a Q.R. code. And you can find all  
 25 of this at this website. Does anybody have any

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 taken out, making sure new agencies are put in. And  
 3 so that is all available at the E.M.S.C. site. So  
 4 what happens is the E.D.C. will send out an email to  
 5 the people that are listed as the leadership at those  
 6 agencies. There is a first email, and then about a  
 7 week later there's a reminder, and then a couple  
 8 weeks later there's a reminder. So there's several  
 9 reminders throughout. And then as the program  
 10 managers, we can see on the backend of via Tableau  
 11 who responded and who hasn't.

12 And then they will only -- in  
 13 subsequent email blasts, they will only send out to  
 14 the people who haven't. So if your agency completed  
 15 it, you're not going to get fifteen emails. In  
 16 addition to that, I do send out notices to the  
 17 program agencies. I do send out notices to all the  
 18 PECCs. And really any other E.M.S. event I announce  
 19 the survey. So there -- there are quite a lot of  
 20 emails and communication related to completing it. I  
 21 think the best way that the committee could help is  
 22 in your areas. So if you are a medical director or  
 23 you work with E.M.S. agencies, or you work with  
 24 medical directors that work with E.M.S. agencies, or  
 25 you are an E.M.S. provider in a region because we do

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 questions on the upcoming E.M.S. survey in May of  
 3 2024?

4 **MR. VAN DER JAGT:** Amy, this is --  
 5 this is great. I had a question about how this pre-  
 6 hospital readiness survey will be communicated to our  
 7 State all the agencies in there. Because I think it  
 8 is really important and what crossed my mind, I don't  
 9 know how the communication will occur, but could  
 10 there be, or should there be, something to think  
 11 about, a letter from the advisory committee strongly  
 12 suggesting that everybody fill this out. I'm  
 13 wondering if that would be helpful. Just wondering  
 14 what your communication would be to the agencies that  
 15 we have, because I think it's pretty critical to have  
 16 them part of their readiness survey.

17 **CHAIR COOPER:** That's a great idea,  
 18 Elise. I totally support it. Amy?

19 **MS. EISENHAUER:** So just like the  
 20 previous E.M.S. for Children E.M.S. surveys, the --  
 21 the E.D.C. has a database. And so as part of our job  
 22 as program managers, part of our job is to help them  
 23 keep it up to date. So Jacob has been really great  
 24 at helping me keep that up to date, inputting new  
 25 information, making sure any agencies that closed are

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 have a lot of pre-hospital folks here.

3 That grassroots piece is really  
 4 helpful because sometimes, right, the person who's  
 5 listed in there, especially because a lot of the  
 6 volunteer agencies turn over leadership in January,  
 7 right? We may have last year's chief, not this  
 8 year's chief. And even -- even in career agencies,  
 9 right? People leave for a variety of reasons, people  
 10 retire. So that information may not have made its  
 11 way to me to put it in the database. So that -- that  
 12 grassroots ability to provide outreach to your  
 13 specific areas is a huge help to me.

14 **MR. VAN DER JAGT:** That's very  
 15 helpful, Amy. I just -- I might -- I'm just trying  
 16 to think through this a little bit here because I --  
 17 it just seems to me that if we want this to really  
 18 happen in this State, we need to have, you know, us  
 19 as the committee endorsing this whole effort. So  
 20 because if you get something from the federal, from  
 21 the E.M.S.C., the Innovation Center, it's like, okay,  
 22 this is another thing that we have to do or we think  
 23 of doing. But I think if we say, you know, basically  
 24 your State, this committee, you know, along with  
 25 program management that you're dealing with, you

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 know, is being strongly encouraging you to do this, I  
 3 just wonder whether it might be a little bit more  
 4 likely that we get better participation.  
 5 The other, the other part of that,  
 6 that's sort of the second point of that is sort of  
 7 indirectly I think it would be helpful for, maybe it  
 8 would be helpful for E.M.S. agencies to recognize  
 9 that there is an E.M.S.C. Advisory Committee that  
 10 looks at this rather than it is just you. Or I don't  
 11 mean to be derogative here, just, I mean, just one  
 12 person or the State Health Department, you know, but  
 13 there is a committee that specifically deals with  
 14 pediatrics. And that's what I was just wanting to  
 15 make sure that, is there a way to -- to do that?  
 16 Anyway, I --  
 17 **CHAIR COOPER:** Yeah. Amy, I want to  
 18 support -- I want to support that as well. I -- you  
 19 know, I think it's as Elise has pointed out when  
 20 something comes through on State letterhead, you  
 21 know, particularly if it's from the committee, I  
 22 think people tend to pay a little bit more attention  
 23 than if it's just something coming from the E.I.I.C.  
 24 or some other group that they may or may not  
 25 recognize. So I -- I think that Elise's point is

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 similar advisory groups and things like that, that  
 3 wouldn't necessarily get the survey, but would be  
 4 beneficial because of the people who sit on them get  
 5 the survey to maybe share with them. So, yeah. You  
 6 know, if there's a pediatric mental health advisory  
 7 council who, you know, all their council members sit,  
 8 you know, in hospitals or things like that, I think  
 9 this advisory body sending letters to other advisory  
 10 bodies like that to say, hey, we're putting this out.  
 11 If you, you know, have someone in there just to at  
 12 least be watching for it or watch for the spam.  
 13 I think that can be really a -- a  
 14 different approach and a valuable approach that each  
 15 of you really would know, because you probably sit on  
 16 something else that that might be relevant for. I  
 17 don't know what others think of that.  
 18 **CHAIR COOPER:** Well, certainly the  
 19 A.A.P. could be -- could be, you know, tapped to  
 20 assist with this. I don't know of any specific  
 21 pediatric mental health groups or advisory groups.  
 22 Maybe, I don't know, maybe -- maybe someone else  
 23 does. Certainly, maybe (unintelligible) --  
 24 **MR. GREENBERG:** And again, yeah, I  
 25 don't think we need to get into it today. And I

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 good, and I personally would support it if -- if you  
 3 and Ryan, you know, would be willing to go along with  
 4 that.  
 5 **MS. EISENHAUER:** Yeah. I think the  
 6 more awareness the better for us.  
 7 **CHAIR COOPER:** Exactly. All right.  
 8 So I'll take it upon myself to get together a letter  
 9 with Elise and (unintelligible).  
 10 **MR. GREENBERG:** Sure. I think -- I  
 11 think I heard that, that it really is just you or I,  
 12 our -- our names are nothing. Our names are nothing.  
 13 I think that's what Elise is trying to say. We need  
 14 Dr. Cooper's signature at the bottom in order to get  
 15 the engagement. I'm okay with that. I'm okay with  
 16 that.  
 17 **CHAIR COOPER:** Well, it's not my  
 18 signature. It's that big picture of the State of New  
 19 York, I think that does it Ryan, but.  
 20 **MR. GREENBERG:** You know, I think the  
 21 one thing that would be interesting though, from this  
 22 committee that -- that we haven't done in the past  
 23 is, you know, you talk about, you know, this  
 24 communication going out to facilities to get the  
 25 facility buy-in. But if there are other groups or

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 think, you know, Art, I think even if we were to come  
 3 up with a -- a template letter that can go to other  
 4 bodies.  
 5 **CHAIR COOPER:** Sure.  
 6 **MR. GREENBERG:** And then if people  
 7 think of this would be good to go here and then, you  
 8 know, we will type in to them and you know, kind of  
 9 go.  
 10 **CHAIR COOPER:** Got it.  
 11 **MS. CHIUMENTO:** Amy, I know you  
 12 mentioned that you send it to the program agencies.  
 13 I don't -- didn't -- don't remember if you said read  
 14 the REMAC, but I think that might be a very good  
 15 place. Because obviously the -- the physicians are  
 16 in charge of each of the agencies and this way they  
 17 can bring back to their agency or at least endorse it  
 18 at their agency, encourage it.  
 19 **MS. EISENHAUER:** Absolutely. Yeah.  
 20 **MR. VAN DER JAGT:** Yeah. I had just  
 21 put that in the -- we're thinking alike I think,  
 22 Sharon, I just put that in the chat box as well about  
 23 the REMAC so that we can send them a letter. The  
 24 other thing, Art is that the -- the new president of  
 25 the A.A.P., I don't know if it's just Upstate is what

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 Jeff Kaczorowski, who I happen to know here. He's  
 3 part of Rochester, Dr. Jeff Kaczorowski.  
 4 **CHAIR COOPER:** Sure.  
 5 **MR. VAN DER JAGT:** And I'm be happy to  
 6 talk with him, you know, about any -- about this  
 7 whole kind of project. Because I -- I can see him a  
 8 lot, you know, so if that would be helpful.  
 9 **CHAIR COOPER:** That'd be great.  
 10 **MR. VAN DER JAGT:** I'd be glad to do  
 11 that. He's -- he's -- he's the A.A.P. president just  
 12 elected, so.  
 13 **CHAIR COOPER:** For just your chapter,  
 14 Elise, or the whole district?  
 15 **MR. VAN DER JAGT:** Well, I -- it's the  
 16 -- you know, it's, he's president of, I -- I think  
 17 it's New York State A.A.P. chapter. So -- so I think  
 18 it's -- it might be the whole State, but it could  
 19 just be, you know how it's, I can't remember whether  
 20 it's -- there's an Upstate and a Downstate. I think  
 21 there is an Upstate and Downstate. So I'll have to  
 22 ask him. But I think he's certainly for the Upstate  
 23 part of it, I know he's present for that part.  
 24 **CHAIR COOPER:** Please, please, go  
 25 ahead. Yes, absolutely.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **CHAIR COOPER:** Actually, Nickol  
 3 O'Toole.  
 4 **MS. EISENHAUER:** Yes. Nickol O'Toole  
 5 is next.  
 6 **CHAIR COOPER:** Yes. Let's do Nickol.  
 7 **MS. O'TOOLE:** Okay. Hello everybody.  
 8 Good afternoon. So I'm just going to do a quick  
 9 presentation on what a FAN is and we're part of the  
 10 Family Advisory Network. Next slide.  
 11 **MS. EISENHAUER:** Sorry, I'm trying to  
 12 figure out how to use Ryan's computer.  
 13 **MS. O'TOOLE:** That's okay.  
 14 **MS. EISENHAUER:** There we go.  
 15 **MS. O'TOOLE:** Okay. So I'm just going  
 16 to go over who we are and what we do and what we  
 17 bring to the E.M.S.C. Next slide. So our mission,  
 18 vision, and values. Our mission was to ensure that  
 19 there's a family and patient perspective, and that  
 20 it's centered and integrated on all of the E.M.S.C.  
 21 activities. And then our vision, we want to be  
 22 indispensable to the E.M.S.C., be equal partners, and  
 23 improve the continuum -- continuum. Can't talk  
 24 today. Of pediatric emergency care. Also, our  
 25 values are advocacy, diversity, respect, equity, and

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **MR. VAN DER JAGT:** Okay. All right.  
 3 Because I'm happy to do that. So I'll talk with him  
 4 a little bit about this readiness project and -- and  
 5 -- and go from there. He is also very active in  
 6 vulnerable child populations disparities, things like  
 7 that. He's on the child health -- childhood health  
 8 agenda here in Rochester area. Very, very active.  
 9 So I think maybe we could even have him and come and  
 10 talk with us at some point to a little bit what the  
 11 A.A.P.'s doing, but also to familiarize him a little  
 12 bit about what this advisory committee is doing.  
 13 **CHAIR COOPER:** Sure. I, you know, and  
 14 as you speak with him, it might also be good to  
 15 mention Always Ready for Children as it is.  
 16 **MR. VAN DER JAGT:** Correct.  
 17 **CHAIR COOPER:** Okay. Anything else?  
 18 Anybody, any other comments from anyone? Okay. Amy,  
 19 do you have anything else?  
 20 **MS. EISENHAUER:** Nothing else from me.  
 21 **CHAIR COOPER:** Wow. Well, I guess --  
 22 I guess that's enough. Been busy, which is good. So  
 23 Ryan, I think you're next up, if I'm not mistaken.  
 24 **MS. EISENHAUER:** Next Ryan is later, I  
 25 think it's Nickol.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 most importantly, partnership. Next slide. Okay.  
 3 So what we do. So this all began back in 1999 when  
 4 E.M.S. for Children was created the Family Advisory  
 5 Network. And it's to include the family  
 6 representatives in the State E.M.S. for Children  
 7 Program.  
 8 So we can operate as chairs, co-chairs  
 9 of subcommittees and also members of the State  
 10 E.M.S.C., which I am as a parent advocate. Also  
 11 coordinating special community projects, assisting  
 12 with development of children's policies, and help  
 13 plan and promote educational offerings. Next slide.  
 14 So who can be a FAN? Anyone really. Parents,  
 15 grandparents, civil servants, E.M.S., police, fire,  
 16 healthcare professionals, teachers and  
 17 administrators. Next slide. I call this the ever-  
 18 evolving slide because an individual, we can see  
 19 ourselves as advocates and educators, also local and  
 20 national leaders. Next slide. So there's two  
 21 levels. The State level which is where I am at, and  
 22 I see us as liaisons almost with the E.M.S.C. and the  
 23 national level for the FAN network.  
 24 So we just facilitate a connection  
 25 between the State E.M.S.C. and the community, again,



1 2/1/2024 – E.M.S. for Children – WebEx  
 2 with the policy and decision makers. So we're  
 3 really, I just kind of connect you guys with the  
 4 E.I.I.C. Next slide. So the State partnership, the  
 5 Family Advisory Network, it's in all fifty states.  
 6 There's programs that work to achieve federally  
 7 defined performance measures, State partnership  
 8 programs that are at the forefront of improving  
 9 pediatric patient outcomes, which we are very lucky  
 10 because we have Amy and she does so much. And then  
 11 also support developing and -- and implementing  
 12 sharing resources and tools. And it's amazing when I  
 13 sit in the meetings through the Family Advocate  
 14 Network, just hearing what the other FANs are doing.  
 15 It's -- it's very -- just wonderful for our children  
 16 in the State. Next slide.

17 So the significance of the FAN, again,  
 18 it's the voices of the families and the patients, and  
 19 it helps providers understand all the way from pre-  
 20 hospital to hospital. the desired outcomes ensures  
 21 the patient concerns. And this is what I love about  
 22 being a FAN, as we bring life experiences to becoming  
 23 a representative. It just allows patients to  
 24 participate in shared decision making and also  
 25 parents that have been through something as I have

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 we're at. So check, you guys got me. Next slide.  
 3 So do you guys have any questions about this before I  
 4 go over just a quick report from the last FAN  
 5 meeting?

6 **CHAIR COOPER:** No questions, but this  
 7 is Art Cooper just want to say we are particularly  
 8 appreciative of your involvement with E.M.S.C. and  
 9 you know, certainly all other members of the -- of  
 10 the FAN. And anything that you can do to help us and  
 11 vice versa, please let us know. I think this is a  
 12 potentially a great partnership. Thank you.

13 **MS. O'TOOLE:** Thank you, Dr. Cooper.  
 14 And so just a -- just a quick update from our last  
 15 FAN meeting. It just, every State talked and I just  
 16 want to just highlight some of the ones that really  
 17 were inspiring. New Jersey is actually working on  
 18 school nurses getting Narcan into schools. And then  
 19 Texas is working on getting Narcans on school buses,  
 20 which I didn't even think about, but I -- I think  
 21 that's going to be a -- a really good program. And  
 22 then a lot of them are working on their pediatric  
 23 readiness for the hospitals as -- as we are their  
 24 program. And Wyoming, their E.M.S.C. has ordered  
 25 sensory kits for all of their ground ambulances. And

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 with my children. So it's just nice to let providers  
 3 know that and understand it a little better coming  
 4 from a family. Next slide.

5 Family representation. Again, we're  
 6 just integrating the family and patient perspective  
 7 in the E.M.S.C. with the program manager efforts, and  
 8 again, I can't compliment Amy enough, she's doing so  
 9 much. Family representatives, we participate in the  
 10 E.M.S.C. advisory committee. And then we also -- the  
 11 FAN representatives will be involved in developing or  
 12 implementing the strategic plan and goals for the  
 13 different programs. Next slide. So as Amy has  
 14 mentioned it prior, so we have these performance  
 15 measures. So the four-year period began in April of  
 16 2023 for the grant cycle. There's nine performance  
 17 measures, two evaluative measures for all State  
 18 partnership grantees. And Amy is keeping us on task  
 19 with that. Next slide.

20 So our program goal for New York  
 21 State, we're increasing the percent that the FAN on  
 22 the E.M.S.C. Advisory Committee who represent the  
 23 emergency needs. The national target is a hundred  
 24 percent of the States have a FAN who represent them.  
 25 And then the State target is a hundred percent, which

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 the sensory kit includes a five-pound weighted  
 3 blanket, headphones, fidget toys, sunglasses, and a  
 4 simple communication card.

5 I know we have the cards coming out,  
 6 but maybe someday we can expand on the card set and  
 7 get some of these sensory items for our artistic --  
 8 or autistic children. And that's the end of my  
 9 report. Thank you.

10 **MS. EISENHAEUER:** I forgot to mention  
 11 the -- the I know that there's more than just those  
 12 little popper things for the sensory items that are  
 13 available. But we have a little extra money from  
 14 leftover printing. And that was my thought to add --  
 15 add one of those popper things. Because they're easy  
 16 to wipe off versus some of the other items out there  
 17 for the PECC tool kit. But we can -- we can discuss  
 18 further about what might be good to include.

19 **MS. O'TOOLE:** Yeah. I -- I think that  
 20 would great. I mean, the blanket great idea, the  
 21 headphones great idea, but I just don't know about  
 22 washing them and getting them back in. So I think  
 23 that's a onetime use and that's a lot, lot of money.  
 24 So but there are different fidget toys and stuff like  
 25 that.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **MS. EISENHAUER:** I mean, we might --  
 3 **CHAIR COOPER:** It sounds like a good  
 4 idea. So I'm going to ask Nickol and Amy to pursue  
 5 this if it's doable, that'd be great.  
 6 **MS. O'TOOLE:** Okay. Amy and I will  
 7 talk.  
 8 **MS. EISENHAUER:** So Ryan is next with  
 9 the Bureau of E.M.S. and Trauma Systems Update.  
 10 **CHAIR COOPER:** Thank you, Ryan.  
 11 **MR. GREENBERG:** Hi, pleasure. So hi  
 12 everybody. There's a -- a lot going on right now and  
 13 I'm going to try and keep it brief. In the E.M.S.  
 14 world, you know, as far as the bureau goes, we are  
 15 hiring a number of positions. We have a number of  
 16 different things that have come to fruition, and so  
 17 we're excited to see that one. I -- I do always try  
 18 and post those on my social media, so on my LinkedIn  
 19 and my Facebook account. So if anybody is interested  
 20 in different jobs in the Bureau related to anything.  
 21 So if we have funding for E.M.S.C. to hire things or  
 22 anything like that, I always try and put those on my  
 23 social media as well as, you know, as well as we push  
 24 out to different groups and things of that nature.  
 25 I think the biggest thing though,

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 available. We also have a number of -- we have a  
 3 PowerPoint presentation and things that we're going  
 4 to start doing to the E.M.S. community, probably  
 5 starting just after council, just because of timing  
 6 between now and then. But I just wanted for this  
 7 group to -- to have a little bit of background on --  
 8 on what is going on and -- and what's in there.  
 9 So within the budget, if you read the  
 10 budget book that came out a couple weeks ago, they  
 11 talk about making E.M.S. an essential service.  
 12 There's lots of parts of New York State right now  
 13 that if you call 911, you may get an ambulance, but  
 14 you may not. You know, it just depends on if there's  
 15 one available and there's no requirement for that  
 16 service to -- to -- to be there. And so most people  
 17 assume E.M.S. is required and that, you know, no  
 18 matter what, when you call 911, you get an ambulance.  
 19 That's just not the case. And so one of the things,  
 20 and we've heard about this for a while, is to make  
 21 E.M.S. essential. And so working on that one, this  
 22 legislative change would happen on that one. The --  
 23 one of the second big things that is in here is  
 24 related to the State E.M.S. task force.  
 25 And so this is related primarily to

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 that's going on right now is, do I have -- Amy, I'm  
 3 going to share something. Is that okay?  
 4 **CHAIR COOPER:** Please do.  
 5 **MR. GREENBERG:** Looks like I'm good.  
 6 I'm going to share something hot off the presses.  
 7 Making sure I'm sharing the right thing here. Just  
 8 bear with me one sec. So the biggest thing that's  
 9 going on right now for -- for E.M.S. is the budget.  
 10 And for the third year in a row, E.M.S. has made it  
 11 into the budget. This is a really exciting thing for  
 12 us. People have recognized that E.M.S. is, you know,  
 13 in a challenging spot at the moment, and you know, we  
 14 need to do things to help improve it and to make it  
 15 happen. So last year we got into the budget, we had  
 16 Part S as in Sam, and there were portions of that  
 17 that made it into the final budget and had some  
 18 statutory changes.  
 19 One of them is really to recruitment  
 20 and retention and, you know, bringing providers in  
 21 and -- and keeping them in the field. So we're, you  
 22 know, really excited about that one. This year we're  
 23 Part V as in Victor and there's a lot of, you know,  
 24 exciting stuff now. The budget just recently came  
 25 out. All these documents are going to be made

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 disaster response and community need. So we saw this  
 3 with Buffalo. We saw this throughout, saw this with  
 4 different initiatives. This would establish a State  
 5 E.M.S. task force made up of primarily ambulances  
 6 from local E.M.S. agencies around the State that  
 7 would be paid for readiness and -- and be able to  
 8 surge an area or be able to provide area in a time of  
 9 need in order to, you know, kind of meet different  
 10 demands. And so that's a second one. I'll talk  
 11 about that in a minute. Then the last initiative on,  
 12 not last, but a big one in the book was this  
 13 paramedic telemedicine urgent care.  
 14 This is looking into our rural  
 15 communities, the ones who have the most issues  
 16 getting access to -- to medical care, particularly,  
 17 you know, more emergent, but maybe not high acuity,  
 18 more low acuity. And can we put a paramedic in an  
 19 urgent care center and then have them use  
 20 telemedicine to get to a provider? You know, can  
 21 they -- can we -- can we bridge this gap? And so  
 22 instead of that person who lives in a rural community  
 23 driving an hour, an hour and a half via ambulance  
 24 maybe, or even by car to get to that local E.R. when  
 25 they don't really need one, but it's the only access

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 to care that they have. Can we change that and say,  
 3 okay, we're going to have you drive locally to this  
 4 paramedic urgent care center?  
 5 They would do an initial assessment.  
 6 They'd be the hands-on the paramedic there. They  
 7 would then telemed to a physician maybe a  
 8 pediatrician or a mid-level, and then provide care to  
 9 that patient. You know, if the patient has an ear  
 10 infection, they'll use technology to look in the ear.  
 11 And at the same time that they're looking in the ear,  
 12 you know, bringing it across, over to that mid-level  
 13 or -- or provider to, you know, via telemedicine. So  
 14 taking things and starting to look at things in a  
 15 different light, right? So like, we know the system  
 16 today isn't work -- isn't fully, you know, working.  
 17 And so the goal of this, and why we look at it from  
 18 the E.M.S. side is how do we help keep E.M.S.  
 19 resources in communities for when they're really  
 20 needed, that cardiac arrest and other things that,  
 21 you know, needs to stay in that E.M.S. community.  
 22 So as we go down one of the big things  
 23 is defining E.M.S. that we saw this in last year's  
 24 proposal. It didn't get into the budget but it's  
 25 redefining E.M.S. So currently today, E.M.S. is

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 agencies if -- if permitted, and then to allow them  
 3 to do a couple more things than what they're doing  
 4 today.  
 5 The next one down goes into  
 6 demonstration projects for many of you who work for  
 7 hospitals and different things. The hospital  
 8 division has what's called a 2805-X demonstration  
 9 program project. And the issue is, it really is  
 10 isolated to Article Twenty-eight facilities and kind  
 11 of that framework. So we would create a similar  
 12 demonstration project, language, statutory language,  
 13 or it was created in Article Thirty, and then  
 14 hopefully collaboratively work with the 2805-X  
 15 programs and different programs out there to have  
 16 that synergy of E.M.S., nursing homes, and home care  
 17 agencies and -- and these different things.  
 18 So again, exciting to, you know, kind  
 19 of be able to look into the future and that  
 20 innovation. The big one that's in here is the E.M.S.  
 21 making E.M.S. an essential service. So this would,  
 22 you know, through some county responsibilities,  
 23 through some county programs would allow that county  
 24 to determine who the primary care responder is for  
 25 each part of their county. And then if they chose to

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 described as the initial care and treatment of an  
 3 emergency patient. This really expands it to what we  
 4 are today, which is beyond just the initial care. We  
 5 do emergency work, we do non-emergency work, we do  
 6 special event work, we do public outreach and really  
 7 just redefining the definition of who we are to -- to  
 8 represent what we really do today, not what was  
 9 essentially created in the 1970s. You know, it -- it  
 10 was Johnny and Roy and Rescue, you know is, you know,  
 11 it was a great hype. See, I got some smiles. Okay,  
 12 good. But, you know, it is a great show, and it was,  
 13 you know, indicative of the times and paramedics and  
 14 everything else. But -- but we're in a different  
 15 time now.  
 16 And so bringing that up to where we  
 17 are today. The next section down goes into community  
 18 paramedicine; last year it was in the budget to have  
 19 community paramedic. It actually didn't get in with  
 20 the budget, but it got into a legislative change.  
 21 But legislative change happened in -- happened during  
 22 the period of time. So it allowed community  
 23 paramedics to happen, but very constricted. And so  
 24 this actually just asked to expand that program from  
 25 the fifty-five that we have today, up to two hundred

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 set up a district for that. So, you know, counties  
 3 may choose to subcontract for different parts of the  
 4 county. They would choose to get into it and  
 5 supplement parts of the county, but all these  
 6 different things would -- would give them opportunity  
 7 to, you know, to do this.  
 8 And by the way, I'll share these  
 9 documents with you as well. So just for anyone who's  
 10 trying to read quickly or anything else, we'll make  
 11 sure to -- to share them with you. One of the big  
 12 things we learned from last year related to  
 13 recruitment and retention is E.M.S. providers one of  
 14 the biggest things we get asked is how come we're  
 15 called certified versus license. In the world of  
 16 healthcare, in the world of the Department of Health  
 17 and legalese point of view, they are licensed because  
 18 the -- the State has given them the authority to do  
 19 something. But that word means a lot to them. And  
 20 so this legislative change would give the Department  
 21 of Health the ability to license them or set  
 22 standards for, you know, licensing with that.  
 23 But credentialing part is related to  
 24 retention. So we learned a lot from other healthcare  
 25 professions. We've learned a lot from nursing. We

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 learned a lot from different things that are out  
 3 there and -- and how do we create that ladder that  
 4 people can move up? How do we create the ladder  
 5 where they can, you know, continuously grow? And so  
 6 credentialing would allow for that. It allows them  
 7 to become a credential critical care paramedic, a  
 8 credentialed E.M.S. officer, credentialed field  
 9 training officer, things like that to allow them to  
 10 have their professional group. Maybe one of those  
 11 credentials in time is a credentialed pediatric care  
 12 program or pediatric emergency care coordinator. All  
 13 these credentials and things hopefully would give  
 14 them opportunity for growth.

15 Last part is paramedic urgent care  
 16 program. We spoke -- spoke about that, you know, a  
 17 little bit in the beginning and -- and really fairly  
 18 straightforward to -- to where to -- to what I was  
 19 saying, you know, before, which is just -- just that  
 20 paramedics in rural counties providing that. And  
 21 there's funding allocated to this too, for a pilot  
 22 program to have that happen. One last thing is that  
 23 you'll hear about is that State E.M.S. task force.  
 24 We spoke about it in the beginning but really  
 25 defining what that is. There'll be five zones to the

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 We know during COVID, we had really  
 3 hard times moving patients, particularly pediatric  
 4 patients. It -- it's -- it's a unique skill. It's -  
 5 - it's not something that the average provider is  
 6 going to feel comfortable with. And so having  
 7 specialized teams out there with the focus, with, you  
 8 know, additional financial support in order to engage  
 9 them in additional training, such as, you know, let's  
 10 not only use practice, how to use events, let's  
 11 practice how to set up events on a one-year-old. You  
 12 know, this is -- this is different in the grand  
 13 scheme of things. As well as some specialty pathogen  
 14 units and then some specialized equipment as well.  
 15 Mass casualty buses and alternative support.

16 So we think of Buffalo last year. The  
 17 E.M.S. system shut down for almost two days. This is  
 18 about day and a half. And so how do we help them in  
 19 being able to -- to stay operational and -- and have  
 20 some specialized equipment. So in addition to that  
 21 one, again, for this group our surge operation  
 22 center, which started during COVID, which helped us  
 23 in finding beds for patients, helped us in load  
 24 balancing that will become a permanent facility, a --  
 25 a permanent in -- initiative now. We actually

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 E.M.S. task force that just isolates to make sure  
 3 each part of the State is -- has resources in that  
 4 given catchment area. It also allows us to identify  
 5 if there's a disaster happening in zone five. Let's  
 6 not pull resources from zone five. They need their  
 7 local resources where they are. Let's go from one  
 8 through four.

9 So this puts out that those resources  
 10 there's a series of -- of State staff who will be  
 11 hired in order to support the day-to-day readiness of  
 12 the of -- of the task force, and being able to make  
 13 sure that all the equipment and everything else is  
 14 ready to go, should it be needed. It would be made  
 15 up of ten which isn't a lot, ten Statewide, ten  
 16 paramedic readiness response units, which are  
 17 paramedic response units. They really are the day to  
 18 day helping make sure that everything's ready for the  
 19 task force. The real backbone in the task force is  
 20 the ground ambulances. The ground ambulances are  
 21 actually contracts with local agencies who have the  
 22 ability to deploy in times of disaster. And we pay  
 23 them for readiness with that. In addition, and  
 24 important to this group is our specialty care or  
 25 critical care strike team.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 started hiring on that one. And really just kind of  
 3 continuing to grow this based on needs and demands  
 4 that are out there.

5 So that was like a two-hour  
 6 presentation, shoved into, I think, ten minutes. I'm  
 7 happy to take any comments, questions, or concerns.  
 8 Like I said, I'm going to pass these off to Amy, and  
 9 Amy will share them out with the entire E.M.S. group.  
 10 These are public documents. They are not well out  
 11 there yet. We have not even shared them with the  
 12 CMAC or the SEMSCO yet. That's literally going to  
 13 happen in probably next hour or two. They just got  
 14 approved. I mean, just got approved right before  
 15 this meeting. So you're the first. Like I said,  
 16 happy to take any comments, questions, or concerns on  
 17 either one.

18 **CHAIR COOPER:** Well, Ryan, the child  
 19 is the father of the man, so there we are. Okay.  
 20 Thank you for letting us go first. Ryan, this is an  
 21 extraordinary amount of work that some of your  
 22 colleagues in the Bureau has, you know, put forward  
 23 and even more impressive that you've been able to do  
 24 the rest to get it into the budget. This is some  
 25 pretty amazing stuff. And I think we're all going to

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 be very interested to see how this plays out. You  
 3 know, I -- I do encourage all of you to visit with  
 4 your own constituencies about, you know, what's in  
 5 the budget and where appropriate and support it. And  
 6 I've seen that personally that there's things that is  
 7 not worthy of support. But I think that our  
 8 colleagues Statewide do need to let -- do need to let  
 9 the legislators know that this is a -- that this is a  
 10 great direction for E.M.S. and -- and you know,  
 11 building the infrastructure up is, you know, it is  
 12 just probably the hardest thing that we -- that we  
 13 do.

14 But Ryan, it looks like you've got  
 15 some great plans to make that happen, and hopefully  
 16 we'll be able to help you get there. Any other  
 17 questions from Ryan on the budget or anything else?

18 **MR. GREENBERG:** And also, I don't  
 19 know, it's just me, but Art, you seem to be breaking  
 20 up a little bit. So that could be my phone.

21 **CHAIR COOPER:** I don't know. I don't  
 22 know. I -- I can -- I'm on my cell phone. I can --  
 23 because I'm over at Metropolitan Hospital today.  
 24 Amy, if you need me to -- to log off and log, log  
 25 back in, I'll do it. Can you hear me okay, Amy?

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 started right now. I -- I -- I don't think Amy would  
 3 let us not have a pediatric representation when we  
 4 start to get to that kind of planning component and  
 5 things of that. But this is the very early stages.  
 6 It hasn't set up yet, kind of the, you know, kind of  
 7 input and that stuff is, you know, still forthcoming  
 8 that will happen once some of those base positions  
 9 are higher and the preparation for the -- the more  
 10 long-term situations comes together.

11 **MR. VAN DER JAGT:** Yeah. I think just  
 12 to respond to that a little bit, I put it in there  
 13 because sometimes it really is -- it is helpful to  
 14 have, you know, if you're dealing with special  
 15 populations like pediatrics, and that's when you said  
 16 it was specially. So not everybody has those skills,  
 17 you know, that brings to mind, well, let's just make  
 18 sure that there is -- is adequate pediatric input for  
 19 these, you know, kinds of situations, especially in  
 20 rural areas, because it is a, shall we say, we  
 21 eliminate disparities of any kind. You know,  
 22 pediatrics is a minority compared to all the entire  
 23 population. You know, because it's only about ten  
 24 percent of the, you know, what E.M.S. sees. And so I  
 25 just wanted to make sure that in the planning of

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **MS. EISENHAUER:** I can hear you okay  
 3 right now. Sometimes you get a tiny bit garbly.  
 4 **CHAIR COOPER:** Okay. Well --  
 5 **MR. GREENBERG:** And Art,  
 6 **CHAIR COOPER:** -- I don't know if it's  
 7 times.

8 **MR. GREENBERG:** It's several times. I  
 9 don't know. And I -- I -- I thought it was my phone.  
 10 I guess it's not part, I don't know if you want  
 11 Elise, maybe, you know, to take the lead for today's  
 12 and, you know, chime in as the components, but Amy  
 13 can, is that okay?

14 **CHAIR COOPER:** I -- I -- it's okay,  
 15 but I -- I -- I think I'm okay. Yeah. I mean, I'll  
 16 -- I'll -- if I get closer to the phone, I think that  
 17 works a little better. Yes?  
 18 **MR. GREENBERG:** Okay. Sounds good.  
 19 **CHAIR COOPER:** Okay. Thanks.

20 **MS. EISENHAUER:** So Dr. van der Jagt  
 21 did have a question about the E.M.S. task force. He  
 22 asked, is there a pediatric representation on the  
 23 E.M.S. task force?  
 24 **MR. GREENBERG:** This is the -- the  
 25 framework to the task force. It is just getting

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 this, there was at least, you know, some solid  
 3 pediatric input, wherever that would be helpful.  
 4 **CHAIR COOPER:** Sure. That would be  
 5 great.

6 **MR. GREENBERG:** I totally agree.  
 7 **MR. VAN DER JAGT:** Could I ask one  
 8 more question, Ryan, because I -- I -- I'm a little  
 9 overwhelmed actually, because there's so many  
 10 components to this. Could you say something about  
 11 the E.M.S. staffing issues, which is such a crisis,  
 12 and yet we're -- there's now, if you make it an  
 13 essential E.M.S. service, how does that balance with  
 14 the staffing that you don't have? I mean, how does  
 15 that really work? And then if some of that staffing  
 16 is no longer riding ambulances, but are actually, you  
 17 know, they're doing telemedicine with providers and  
 18 things like that, you know how -- how -- I'm just a  
 19 little bit concerned about how you all, they -- the  
 20 task force is seeing that play out because it -- it  
 21 is such a difficulty.

22 I think the incentive program  
 23 obviously will be enormously important to do that,  
 24 that licensing and things like that. So but I'm just  
 25 curious how that was being addressed.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **MR. GREENBERG:** Yeah. So I'll talk  
 3 about the essential portion first. So I think in the  
 4 essential portion in -- in where this helps with our  
 5 staffing crisis and things like that, there's often  
 6 today, you know, it -- we will have communities just  
 7 turn and say, yeah, well, you know, I'm -- I'm going  
 8 to cut that, or we can't -- we can no longer afford  
 9 that. Or you know, we -- the most we can afford is  
 10 to pay minimum wage. And, you know, because we're  
 11 not required to -- to provide it. And so I think  
 12 that's making it essential start to change some of  
 13 those dynamics and start to put stability into, you  
 14 know, when people are considering, you know, careers  
 15 in a system or even, you know, as volunteers, you  
 16 know, when they are looking for that financial  
 17 support, even just to have the right equipment, to  
 18 have the pediatric equipment that specialized stuff  
 19 that, you know, if a community is required to provide  
 20 a service, then hopefully they invest in that service  
 21 as well.  
 22 When they invest in that service and  
 23 the people are engaged in that service, when people  
 24 are engaged in that service, they want to stay in  
 25 that service. We see a tremendous amount of our

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 But there's also, we know there's a  
 3 large portion that -- that's not, we know that a  
 4 portion of our problem is -- is retention and giving  
 5 people, if I'm, you know, twenty-two years old, not  
 6 an E.M.T. and I'm looking to say, what does the rest  
 7 of my life look like? And I, you know, kind of look  
 8 and I say, I can go become a paramedic and I can go  
 9 make, you know, sixty or seventy grand a year, but I  
 10 can only ride the front of a truck, or I can go  
 11 become a nurse and I can make, you know, seventy to  
 12 eighty grand a year. And I have, you know, these  
 13 endless tiers of opportunities. You know, which path  
 14 do I choose to take?  
 15 And what we hear often is, it's not  
 16 always that they want to go into a different  
 17 profession, it's that they, you know, part of what  
 18 keeps them, you know, makes them go into another  
 19 profession is because of, you know, that -- that  
 20 shortfall, that -- that lack of opportunity, that  
 21 lack of advancement, that lack of, you know,  
 22 opportunity to -- to do something different. We look  
 23 at some of our, you know, larger agencies, and you'll  
 24 see upwards of seventy percent of their E.M.S. staff  
 25 is less than five years on, you know, working in

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 people, and we know that, particularly at the E.M.T.  
 3 level, it's an entry level into healthcare. And so  
 4 they advance on to be nurses and P.A.s and -- and  
 5 doctors and everything else. But we -- we also are  
 6 looking to figure out how do we engage people to --  
 7 to advance onto a paramedic and want to stay there.  
 8 One -- one of the figures that most people don't know  
 9 is that, you know, related -- related to that. We  
 10 have about seventy-five thousand E.M.S. providers  
 11 Statewide. Only fifty percent of those providers  
 12 show up on a patient care report.  
 13 So thirty-five thousand of our E.M.S.  
 14 providers are -- are provide -- are providing direct  
 15 free hospital care, but then another thirty-five  
 16 thousand are somewhere, but we don't know where. And  
 17 you know, they -- they're -- they're not showing up  
 18 on patient care report. Now, a portion of those  
 19 are going to be leadership. So right. So if you're  
 20 you know, Chief Pataky, like he's on this call, you  
 21 know, he might not show up on a patient care report,  
 22 but he's run, you know, mass incidents and, you know,  
 23 large scale incidents and things like that. But in  
 24 our world, if -- if you don't show up from P.C.R., we  
 25 don't have a way really to measure some of that.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 E.M.S. before they leave completely. And so some of  
 3 these initiatives are moving towards that as well as,  
 4 you know, it's -- it's tough to see. It's tough to  
 5 see, well, how can we put paramedics in E.R.?  
 6 So we hear about this one often and  
 7 know that's not in the bill. You know, we hear about  
 8 this one often, well, how can we put paramedics in  
 9 E.R., it's just not possible because if we put a  
 10 paramedic in E.R., they're not on a truck, but  
 11 where's the other thirty-five thousand E.M.S.  
 12 providers who, you know, maybe they did ten or  
 13 fifteen years on ambulance and they just, you know,  
 14 body wise, health wise, whatever, can't continue to -  
 15 - to be in that same environment all the time. And  
 16 then what are opportunities for them and where can  
 17 they go to? And so looking at those and kind of  
 18 growing from there. Does that answer your question?  
 19 **MR. VAN DER JAGT:** In part, but  
 20 there's no talking. Obviously, this is a very big  
 21 area, you know, the staffing issues and all these  
 22 initiatives, which are great, you know, but I'm just  
 23 curious. But thanks for beginning to answer that  
 24 question. Okay, Ryan, thank you.  
 25 **MR. GREENBERG:** Yeah. If I remember

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 correctly, Elise, I think you have a lot of kids. So  
 3 if you can get like, you know, seventy-five percent  
 4 of your children just to come into E.M.S., I think we  
 5 can solve it at least a local E.M.S. issue. So.  
 6 **MR. VAN DER JAGT:** Oh, six of them are  
 7 already in healthcare, but they're not in E.M.S., I'm  
 8 sorry to say. They could volunteer.  
 9 **MR. GREENBERG:** There's – there --  
 10 there's problem right there. You got six in  
 11 healthcare and we haven't even gotten one. You got  
 12 to figure out how to draw them in to E.M.S.  
 13 **MR. KAPSER:** Yeah. I -- I definitely  
 14 think that that diversification is a key thing  
 15 because a lot of times, paramedics, E.M.T.s, they are  
 16 just, can they -- they have to tunnel onto the  
 17 others, like a jack of all trades, but a master of  
 18 none. And that critical thinking and the ability and  
 19 the knowledge they have can be well versed in a lot  
 20 of different fields. I know that the union has been  
 21 (unintelligible) into the (unintelligible) in a  
 22 State, but anything broadens the scope of opportunity  
 23 would (unintelligible) people and if we're  
 24 considering it to be an essential service that then  
 25 that it's not going to be a profit generating entity

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 come back to old business.  
 3 **CHAIR COOPER:** Okay, Amy, that's fine.  
 4 Let's go to the tests then. Okay?  
 5 **MS. EISENHAUER:** Excellent. So Alexa  
 6 Coppola, Kathryn Wright, Dr. Goldman, Dr. Hennessy,  
 7 and Katerina Gaylord are here from the Office of  
 8 Mental Health. And they are going to share with us  
 9 about the Crisis Stabilization Center overview. So  
 10 Alexa, Peter passed you the control, so you should be  
 11 able to share your screen. There you go. And I will  
 12 let you take over.  
 13 **MS. COPPOLA:** Thank you so much. Are  
 14 you able to hear me?  
 15 **MS. EISENHAUER:** It looks like closed  
 16 for a moment. Yes, I can hear you, but it's -- it's  
 17 a little bit low.  
 18 **MS. COPPOLA:** Okay. I'll talk louder.  
 19 It's -- it's not too hard for me to do that. But  
 20 thank you so much, Amy for helping us coordinate this  
 21 as well as Ryan and shout out to Dr. Cooper for  
 22 inviting us to this event. So we'll be giving a  
 23 general overview of Crisis Stabilization Centers.  
 24 They are a new program that I -- that are developing  
 25 across New York State as we speak. So I'm going to

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 like fire departments, right? You know, you can't go  
 3 to build a health service and be like, well, I can  
 4 put it out for you but (unintelligible) in a service  
 5 that's you know, provided with an attack and  
 6 everything else.  
 7 But I think it's great if we can make  
 8 it so E.M.S. has different avenues if their body does  
 9 kind of give up on them or -- or if they're just  
 10 realize mentally that they're like, oh, I -- I -- I  
 11 need a little bit of a change. I -- I think that's  
 12 great. I hope that that comes to fruition. That  
 13 would be amazing.  
 14 **MR. GREENBERG:** Okay. I agree.  
 15 **MS. EISENHAUER:** Okay. So --.  
 16 **CHAIR COOPER:** Okay. Ryan, are you --  
 17 do you have anything else for us?  
 18 **MR. GREENBERG:** I'm good.  
 19 **CHAIR COOPER:** You're good. Okay.  
 20 Any other questions for Ryan? Okay. Well, let's  
 21 move on to the next item on the agenda. Amy, I  
 22 believe it's the pediatric agitation test, right?  
 23 **MS. EISENHAUER:** So we -- we have some  
 24 guests that are here to present at two. So my hope  
 25 was that we would be able to jump to them and then

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 pass it over. We have -- I'll pass it over to Dr.  
 3 Goldman, Dr. Hennessy, and Kathryn to just introduce  
 4 themselves, real fa -- real fast, if you will.  
 5 **MS. GOLDMAN:** Sure. Hi everyone. I'm  
 6 Jennifer Goldman. I am with O.M.H. Medical Director  
 7 for Crisis and Community Services.  
 8 **MS. HENNESSY:** Hi, everyone. I am  
 9 Grace Hennessy. I'm actually not with O.M.H. I am  
 10 with OASAS, the Office of Addiction Services and  
 11 Supports, and I'm the Associate Chief of Addiction  
 12 Psychiatry.  
 13 **MS. WRIGHT:** Hi everybody. I'm Kathy  
 14 Wright. I'm with the Office of Mental Health. I'm  
 15 the Unit Director for the Crisis Unit on the kids'  
 16 side.  
 17 **MS. COPPOLA:** And we also have our  
 18 special guest today, Katerina Gaylord. Kat, if you  
 19 want to introduce yourself.  
 20 **MS. GAYLORD:** Sure. Hi, everybody.  
 21 My name's Kat Gaylord. I'm the Deputy Director for  
 22 the Bureau of Crisis Emergency and Stabilization  
 23 Initiatives at the Office of Mental Health. Crisis  
 24 Stabilization Centers amenities, mobile crisis CPEPs  
 25 are under our purview. Thanks for having us.

1 2/1/2024 – E.M.S. for Children – WebEx  
2 **MS. COPPOLA:** Awesome. And I am Alexa  
3 Coppola. I also am within the Bureau of Crisis  
4 Emergency and Stabilization Initiatives at O.M.H. and  
5 I am our lead program specialist for the Crisis  
6 Stabilization Center development. And I'll also give  
7 a shout out on the call, we do have Rebecca Baitholts  
8 who is a part of our kids division here, as well as  
9 Keith McCarthy the Associate Commissioner of Quality  
10 Assurance and Performance, who are both on the call  
11 today, but will not be presenting. So just we'll --  
12 we'll go through a quick agenda. We'll briefly touch  
13 on the comprehensive crisis response system. So you  
14 can just see how Crisis Stabilization Centers have  
15 been built into this model.

16 We will go into some details of the  
17 Crisis Stabilization Centers appropriateness for use,  
18 the type of services that they offer, and where  
19 they'll be developing. And then we'll also just talk  
20 about how they intersect or will intersect with  
21 E.M.S. paramedics. And then we -- I just want to  
22 give a brief -- I will -- I -- I guess I should say  
23 it now, that there will be, if it has not already  
24 been circulated, a one-pager sent to this group that  
25 kind of describes where that we -- what we're

1 2/1/2024 – E.M.S. for Children – WebEx  
2 kind of, to give you a sense of where this fits into  
3 the system, if you want to do the next slide, Alexa.  
4 Perfect. So I mean, I think the overall vision is it  
5 falls under these kind of three frameworks. Someone  
6 having -- when -- when someone is experiencing a  
7 behavioral health crisis, we want them to have  
8 someone to call, that being nine eight eight, someone  
9 to come, that being mobile crisis response. And then  
10 somewhere to go crisis residences, Crisis  
11 Stabilization Centers, or these psychiatric emergency  
12 rooms. We recognize that, you know, current State,  
13 we -- some areas of New York State have this, others  
14 do not. And that you -- you all working in emergency  
15 medical services, you know, are oftentimes are  
16 involved and in the someone to come, certainly and --  
17 and helping with somewhere to go for individuals that  
18 are experiencing behavioral health crisis.

19 So our hope is that with the  
20 development of these centers, that Alexa will go into  
21 greater detail. they offer additional options and  
22 hopefully will help to divert and -- and offset some  
23 of the challenges that are being felt by medical  
24 E.D.s, psych E.D.s across the State. So I forget if  
25 the next slide is, oh yeah, this is -- this is more

1 2/1/2024 – E.M.S. for Children – WebEx  
2 describing today, it'll provide a brief overview of  
3 the Crisis Stabilization Centers, and that'll also  
4 come along with a spreadsheet so that you can see  
5 where each center is being developed and a contact  
6 for each center so that if you would like to have a  
7 conversation about collaboration or partnerships  
8 moving forward, that you have their information as  
9 well. So I will pass it over to Dr. Goldman.

10 **MS. GOLDMAN:** Thanks, Alexa. Yeah.  
11 So thank you for having us here. And I can tell you  
12 guys have a very packed agenda, so we will try to  
13 keep it brief. But just to give you an overview that  
14 O.M.H. and OASAS have been collaborating on trying to  
15 think about a Statewide kind of coordinated crisis  
16 system, rather than these piecemeal services, really  
17 connecting them with kind of the heart of this, as  
18 Kat had mentioned through nine eight eight, the --  
19 the New National, but, you know, in New York State  
20 crisis call line linkages to mobile crisis response,  
21 mobile crisis residences, our -- our comprehensive  
22 psychiatric emergency programs and then the new  
23 program that's being developed between O.M.H. and  
24 OASAS, these Crisis Stabilization Centers.

25 So we'll just -- we have like a visual

1 2/1/2024 – E.M.S. for Children – WebEx  
2 of an individual. But studies have shown that up to  
3 eighty percent of crisis calls coming into crisis  
4 lines can be actually resolved on the phone. So  
5 that's sort of where the development of nine eight  
6 eight has come in. For those of you that are not  
7 familiar, nine eight eight was rolled out nationally  
8 with the hopes as it being an alternative to 911 for  
9 behavioral health crisis calls.

10 There are individuals, trained crisis  
11 counselors that are able to connect with callers,  
12 potentially make referrals to services. And then as  
13 you can see here, you know, having these other pieces  
14 of the puzzle, mobile crisis teams, crisis receiving  
15 facilities, and certainly of course, like prevention  
16 or post-crisis follow-up will be critical. So I  
17 think we can move on. Okay. So -- so in this -- you  
18 know, in this picture of the Crisis Stabilization  
19 Centers are the new program that are getting  
20 developed as a community-based, voluntary, twenty-  
21 four seven service that will be available to  
22 individuals seeking behavioral health, mental health,  
23 substance use crisis services. So I'll turn it over  
24 to Alexa to go in a little bit greater detail of  
25 those -- the program and the kind of two different



1 2/1/2024 – E.M.S. for Children – WebEx  
 2 types.  
 3 **MS. COPPOLA:** Thank you. All right.  
 4 So there are two different types of Crisis  
 5 Stabilization Centers, or at least two different  
 6 types that we are looking to certify within the  
 7 model. And it is if -- if you haven't seen by now,  
 8 this is a jointly certified program between the  
 9 Office of Mental Health and the Office of Addiction  
 10 Services and Supports. So this is a joint effort  
 11 through and through from early development on to  
 12 implementation. We also have our own joint  
 13 regulations for this program under Part 600 and  
 14 program guidance. And so I'll just talk more broadly  
 15 about what all Crisis Stabilization Centers should  
 16 look like. So you know what to expect when you start  
 17 to see them in communities. So regardless of them  
 18 being supportive or intensive, an important thing to  
 19 keep in mind is that they're a completely voluntary  
 20 program.  
 21 So if somebody would like services,  
 22 they can go to a Crisis Stabilization Center. There,  
 23 I guess a good example is for, in, with the case with  
 24 kids, if a child does not want to seek services, but  
 25 the family member would like some services to work

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 about like the differences between the kid spaces and  
 3 the adult spaces and how we're kind of managing that  
 4 or how providers are going to be managing that across  
 5 the State.  
 6 But important to note here that they  
 7 are under an outpatient service. So the goal of them  
 8 is not for people to stay longer than twenty-four  
 9 hours but they do offer rapid access to care. So  
 10 unlike an emergency room where there usually are long  
 11 wait times to see someone, just because of the nature  
 12 of an emergency room, these centers are set up so  
 13 that somebody is available to -- there are staff  
 14 available to provide services as soon as somebody  
 15 walks in. So shorter wait times. It is a voluntary  
 16 program and they offer rapid access to services and  
 17 hopefully they assist with the diversion from higher  
 18 levels of care.  
 19 So I'll just kind of de -- you will  
 20 have access to these slides. So I'll talk about  
 21 primarily the di -- the differences between the  
 22 supportive and intensive by describing the services.  
 23 All Crisis Stabilization Centers will be able to  
 24 offer the services that you see on the left-hand  
 25 side. So that's triage, screening assessments,

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 with that child, then that family member would be the  
 3 ones who are voluntarily seeking services. But  
 4 another thing, important thing to note is that Crisis  
 5 Stabilization Centers are -- they're able to serve  
 6 the entire lifespan. So they're open twenty-four  
 7 seven, three hundred and sixty-five days a year with  
 8 the ability to serve individuals across the lifespan.  
 9 So minors all the way to late adulthood experiencing  
 10 both mental health and substance use crisis symptoms,  
 11 there is no age limit or any like other additional  
 12 criteria that you would need to receive services.  
 13 Typically, individuals who would also  
 14 receive services at a Crisis Stabilization Center  
 15 would be medically stable. And I guess that's --  
 16 that would be important to mention for this group.  
 17 So they are person centered. We really have a -- a  
 18 very strong focus and emphasis on that peer and  
 19 recovery-oriented support. It's deeply ingrained  
 20 within this model. We really wanted to build out  
 21 spaces that were trauma informed,, therapeutic and  
 22 welcoming. So when you walk into one, they won't  
 23 replicate an E.D. necessarily. They are meant to  
 24 have a safe therapeutic environment as you walk into  
 25 them. They do -- and -- and then we'll talk more

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 therapeutic interventions, peer support services,  
 3 ongoing observation, collaboration with a recipient's  
 4 friends, family, or providers, discharge aftercare.  
 5 And what we don't have listed here, but it's  
 6 important to note, is also follow up. So these are  
 7 services that you will find at any Crisis  
 8 Stabilization Center. Now based on, you know, if an  
 9 individual knows in their community, if it's a  
 10 supportive or intensive, that's probably less likely.  
 11 But it's important for U.S. providers  
 12 to know so that, you know, what services would be  
 13 accessible if somebody were to walk into them. And  
 14 Intensive Crisis Stabilization Center, and like I  
 15 said, I'll note that we are sending out the list so  
 16 you can see the distinction of where intensive are in  
 17 supportive for opening across the State. But the  
 18 difference between supportive and intensive are the  
 19 services here on that right-hand side. So you'll see  
 20 intensive are able to offer psychiatric diagnostic  
 21 evaluation and planning, medication management,  
 22 medication for addiction treatment, medication  
 23 therapy, and mild to traumatic detox services with  
 24 the note that supportives, while they don't offer  
 25 those services on site, they must contract to provide

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 a direct linkage to a provider who can offer those  
 3 services that are specific to an intensive.  
 4 So their goal isn't just to kind of  
 5 give somebody a list of services and then send them  
 6 on their way. The goal is really to connect them  
 7 directly with what they need, especially if that's a  
 8 higher level of care. I just want to note here the  
 9 importance of care specialists and peer advocates.  
 10 Not only when we -- as the model was being developed  
 11 but we understand that peers have a shared experience  
 12 with individuals and family across the lifespan  
 13 navigating both mental health and substance use  
 14 crises. So we acknowledge them as the leading  
 15 experts on resiliency, resiliency and recovery-  
 16 oriented support. Therefore, we do you know, we've  
 17 been working with providers on how to incorporate  
 18 that peer philosophy into the program model, not just  
 19 through direct service but through administrative  
 20 oversight, training development, and overall service  
 21 delivery. And I will hand this slide off to my  
 22 colleague Kathy.  
 23 **MS. WRIGHT:** All right. Thanks. So  
 24 as Alexa said, the Crisis Stabilization Centers are a  
 25 lifespan service. So as such, all Crisis

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 Again, because the Crisis Stabilization Centers are  
 3 open twenty-four seven, these staff also have to be  
 4 available twenty-four seven.  
 5 And the Crisis Stabilization Centers  
 6 must collaborate with child serving providers. So  
 7 that might be schools, pediatricians, outpatient  
 8 providers, juvenile justice, child welfare. There  
 9 are also mental health programs for children and  
 10 adolescents throughout most of the State that are  
 11 designed for kids in crisis, or kids that need  
 12 intensive treatment might be case management,  
 13 H.B.C.I., which is Home-based Crisis Intervention  
 14 Youth Act, which is the assertive Community Treatment  
 15 Program for youth. And along the lines of  
 16 collaboration, Crisis Stabilization Centers are also  
 17 expected to collaborate with the families and the  
 18 guardians and the collaterals that are working with  
 19 the children and youth.  
 20 And along those lines, when Alexa said  
 21 earlier that this is a voluntary service that  
 22 includes for youth, a youth needs to agree to this  
 23 service, there may also be situations in addition to  
 24 maybe that disagreement about between the child and  
 25 their guardian about maybe the child wants to go but

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 Stabilization Centers are expected to serve children  
 3 and adolescents. She also mentioned that one of the  
 4 main premises of the Crisis Stabilization Centers are  
 5 being comforting and welcoming places. And to that  
 6 end, all the Crisis Stabilization Centers are  
 7 expected to have designated waiting areas and  
 8 treatment areas that are separate for children and  
 9 families. And from adults. For the waiting areas  
 10 that may look a little different from Crisis  
 11 Stabilization Center to Crisis Stabilization Center,  
 12 it might look like separate entrances.  
 13 It might look like separate waiting  
 14 rooms, or it may look like a lot of space flexibility  
 15 so that if somebody needs to move directly from the  
 16 welcoming area to a separate room other than the  
 17 waiting area then there's that availability. Also,  
 18 the goal is very limited co-mingling between adults  
 19 and children. The treatment will never happen in the  
 20 same place for children and adults. So for example,  
 21 there's not going to be a group therapy session that  
 22 includes a child recipient and adult recipient. The  
 23 C.S.C.s must have staff with expertise and training  
 24 in child and adolescent developmental and behavioral  
 25 health, and they must be available twenty-four seven.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 the guardian isn't so sure, or the guardian wants  
 3 them to go and the youth isn't so sure. What we  
 4 would say is to have a conversation with the parties  
 5 involved as E.M.S. staff, and to see if there's an  
 6 agreement that can be met with everybody that's  
 7 involved. And we'd also recommend reaching out to  
 8 the Crisis Stabilization Centers that are in  
 9 development in your area, and to talk with them about  
 10 what services or supports they might have in  
 11 situations like that, because the voluntary person-  
 12 centered trauma-informed nature of all this is  
 13 important for the kids and adolescents too.  
 14 And that's it for that slide. I think  
 15 we can do next slide and I'll pass it back to Alexa.  
 16 **MS. COPPOLA:** Perfect. Thank you,  
 17 Kathy. And I do want to note, and we'll go over the  
 18 funding sources for these and how they're being  
 19 developed across the State, but we wanted to provide  
 20 this general overview to this group because it is a  
 21 really good time to be having these conversations  
 22 with providers as the majority of them are still very  
 23 much well underway with development that they are not  
 24 operational yet. So if you're, I think as talking to  
 25 Ryan, I think the gears continue to turn on like how

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 can E.M.S. be a part of this table -- be a part of  
 3 this conversation and sit at this table. And there  
 4 is a lot of room for that right now, which is why we  
 5 wanted to be able to share context with the centers  
 6 as soon as possible. And they're also aware of that  
 7 collaboration and you know us sitting in on these  
 8 calls. So they're more than happy individually to  
 9 have those regional discussions about how would this  
 10 be most appropriate and where we'll kind of E.M.S.  
 11 interact with this program. And then --.

12 **CHAIR COOPER:** This is Art Cooper. I  
 13 just wanted to jump in for one second.

14 **MS. COPPOLA:** Yeah. Of course.

15 **CHAIR COOPER:** Point -- point out that  
 16 you know, as I think, you know, you know, we've had  
 17 quite a bit of discussion recent months especially  
 18 the last year or so on pediatric agitation. And I  
 19 imagine that at least some of the patients that come  
 20 to, you know, the -- the crisis State adjacent  
 21 centers you know, particularly the -- the -- the --  
 22 the lower-level centers, not the higher-level centers  
 23 are going to be maybe therefore uncontrollable  
 24 agitation. The parents are kind of bringing them in.  
 25 We -- we're -- we're developing I think a lot of -- a

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 there's going to be interfaces where individuals may  
 3 be picked up from the Crisis Stabilization Center and  
 4 being brought to medical E.D.s because it's  
 5 determined they need a higher level of care. I think  
 6 that there -- there certainly are going to be  
 7 policies and procedures around management of  
 8 agitation.

9 I would say that part of what we've  
 10 heard from some of the centers that have been  
 11 operating not under this licensure, but have been  
 12 providing similar services in sort of a crisis  
 13 stabilization setting, is that it's important to be  
 14 working with communities to understand who would be -  
 15 - both communities and providers who would be the  
 16 types of appropriate cases or in individuals that are  
 17 most appropriate to be coming into the Crisis  
 18 Stabilization Centers. Because they are not  
 19 authorized to, for example, utilize restraints or do  
 20 things that the hospital settings and E.D. settings  
 21 do have the ability to do.

22 They operate more as, almost like more  
 23 of an outpatient clinic setting in that way where  
 24 they will use certainly a number of different  
 25 techniques to try to deescalate and try to engage and

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 lot of resources at the moment to assist our  
 3 emergency medical technicians in, you know, in  
 4 understanding how best to deal with agitated kids.  
 5 I wondered, you know, to what extent  
 6 do the -- do the folks that are going to be staffing  
 7 for the C.S.C.s, particularly the lower-level ones  
 8 you know, how are they going to be, you know, trained  
 9 in -- in dealing with pediatric agitation, you know,  
 10 and so on? And are some of the materials developing  
 11 potentially useful for you? Or -- or would you --  
 12 would your idea be that if -- if a child came in a  
 13 significant agitation that the response would be to  
 14 call 911 and get the E.M.T.s to come -- to come? I  
 15 just wondered if you had had any -- given any  
 16 thoughts of that and how -- how you might want to  
 17 address it, it's okay if not. Thank you.

18 **MS. GOLDMAN:** I think that's a great  
 19 question. And I do think that the idea, you know,  
 20 given the work that you and your council has done to  
 21 try to put together standardized practices --  
 22 practices or recommendations for the management of  
 23 pediatric agitation in the field, I think it would be  
 24 great for us to be talking and that as much as there  
 25 can be some consistency the better, especially if

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 maybe offer medication or other kind of calming  
 3 options voluntarily. But because these are -- there  
 4 -- there's -- these are voluntary centers and there's  
 5 not, you know, the involuntary component, the staff  
 6 is not going to be -- have that expertise in terms of  
 7 or have that option to be laying hands on individuals  
 8 or utilizing restraints or things like that. So I  
 9 think the goal would be that those individuals,  
 10 depending obviously on the, like, you know, agitation  
 11 can mean a lot of different things to people, but  
 12 depending on the level of agitation and if it -- if  
 13 it is one where it may require more than verbal de-  
 14 escalation, that probably those individuals would be  
 15 best suited to still go onto the emergency room.

16 **CHAIR COOPER:** Well, I just -- yeah,  
 17 these are all -- thank you for your response. You  
 18 know, I do think that it might be worthwhile for you  
 19 especially and for other members of your team to  
 20 maybe participate in some of the planning sessions  
 21 for pediatric agitation that we're getting to that  
 22 together for our emergency medical technicians. Most  
 23 of that training is going to be focused as you would  
 24 -- as you would imagine, on verbal de-escalation  
 25 techniques. Our E.M.T.s are not going to have the

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 capability to administer medications. Our paramedics  
 3 can do so under very, very limited circumstances and  
 4 under medical direction. But you know, your end  
 5 point, you know, because we are talking as you've  
 6 really pointed out so well, really talking about a  
 7 continuum of mental health care for -- in troubled  
 8 kids and -- and so the -- the extent to which we can  
 9 kind of work together mutually plan, I think would be  
 10 very, very helpful.

11 Particularly since, you know, as you  
 12 suggested, there are going to be instances where  
 13 children, you know, are, you know, are exhibiting  
 14 behavioral characteristics that are way beyond what  
 15 may be provided in a Crisis Stabilization Center and  
 16 trip to the hospital with emergency medical services  
 17 personnel may be, may be what's -- what's needed in  
 18 those cases. Thank you.

19 **MS. GOLDMAN:** Yeah. Thank you. That  
 20 is great. I would love to be able to, I'm sure you  
 21 know others from my team would love to be able to  
 22 follow up after this and -- and take part in some of  
 23 those discussions. And thank you for that, you know,  
 24 idea and that invitation. That would be great to  
 25 collaborate.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 go into more E.D. management versus the, you know,  
 3 other, I'm a little unclear on that, and I wonder how  
 4 that's being formulated.

5 **MS. GOLDMAN:** Yeah. It's a great  
 6 question. And I think there's -- there's a couple of  
 7 questions, both as you're mentioning, like  
 8 clinically, you know, both clinically, how does that,  
 9 and -- and then operationally like, you know, how do  
 10 you develop standards around that? And then there's  
 11 also the piece of billing, certainly.

12 **MR. VAN DER JAGT:** Yeah.

13 **MS. GOLDMAN:** Then there's also the  
 14 variability across the State in terms of how the  
 15 different regions work. So I think what we've -- we  
 16 presented at SEMAC in September, just to start the  
 17 conversation to say that, you know, as Alexa  
 18 highlighted, this is in the development phase right  
 19 now. And that we would like that to be an option  
 20 that, that if -- if -- if E.M.S. felt that they could  
 21 get guidance, clarity that would allow their E.M.T.s  
 22 to, you know, have that comfort to make those -- some  
 23 of those determinations that would be great.

24 But we would want to be working  
 25 closely with, you know, all of you here to be making

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **CHAIR COOPER:** We'll hear more about  
 3 it. And Jennifer, I hope you can stay on the call to  
 4 hear -- hear from Sharon Chiumento, who's leading our  
 5 efforts in terms of getting the curriculum together  
 6 for our E.M.T.s.

7 **MS. GOLDMAN:** Yeah. I would like  
 8 that. Thank you.

9 **MS. EISENHAUER:** There are few other  
 10 questions in the chat.

11 **MR. VAN DER JAGT:** Yes, I have. I  
 12 think I'm the first one and then Dr. Albert. So and  
 13 again, it relates to the same sort of similar kind of  
 14 questions, I think that Dr. Cooper had. The issue  
 15 of, you know, would ambulances be able to take  
 16 patients directly to a crisis, you know, a Crisis  
 17 Stabilization Center if they have a -- a child, an  
 18 adolescent on board? And then that of course brings  
 19 up really Dr. Albert's question too is, you know, is  
 20 medical clearance necessary? Or meaning, how do you  
 21 -- how do you triage if that would be the case? And  
 22 is there a triage tool that would need to be  
 23 developed? Because if the patient needs you know an  
 24 I.M. dose of Olanzapine, you know that's one thing,  
 25 you know, but I mean, where does it -- where does it

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 those decisions and developing. And then also  
 3 recognizing that while there would want to be some,  
 4 you know, standards, State -- Statewide standards,  
 5 that then there may be regional variability. We have  
 6 in some areas where some centers ha -- have already  
 7 developed a protocol with their local E.M.S.  
 8 providers about, you know, the -- the individuals  
 9 that would be most appropriate, the types of cases,  
 10 or types of calls. And as Alexa was alluding to,  
 11 some of them even, you know, have a call between the  
 12 center and the team to -- to, you know, clarify some  
 13 of that. But it really, since the resources are so  
 14 variable, you know, across the State, I think that  
 15 will be most fruitful to happen at the more regional  
 16 levels.

17 So we can work on maybe some guidance  
 18 around like a standardized triage algorithm. You  
 19 know, what are those cutoffs or considerations for  
 20 medic -- you know, what's considered medically stable  
 21 for these centers, what's considered, you know,  
 22 imminent risk or like acute danger where they need to  
 23 go to the hospital. Like we can help definitely  
 24 develop those. But we -- we wanted to work more  
 25 collaboratively on -- on whether or not and where

1 2/1/2024 – E.M.S. for Children – WebEx  
2 that would get embraced and where that would start to  
3 get taken up by, you know, depending on the different  
4 regions.

5 **MR. VAN DER JAGT:** Well, thank you  
6 very much. It's a great -- great opportunity.

7 **MS. COPPOLA:** And I think until we  
8 have those conversations at this point, it's just  
9 good to know where they're going to be located. And  
10 if, you know, the -- to collaborate with the center  
11 and they may be reaching out themselves to their  
12 various providers as that being part of their  
13 development. But I think important to remember, you  
14 know -- I think I lost my train of thought. I think  
15 I lost my train of thought today. But they  
16 definitely want to have emergency medical services at  
17 the table. And then at this point where they are in  
18 development, where E.M.S. may interact with them most  
19 is maybe if that person does end up going to the  
20 center walking in, and they do end up needing a  
21 higher level of care, maybe they walk in and there  
22 does end up needing to be a medical concern. They  
23 may call E.M.S., 911, whatever their protocol is to  
24 get that person to an E.D.

25 So that's, I think, at this point

1 2/1/2024 – E.M.S. for Children – WebEx  
2 communities to highlight the types of individuals  
3 that would be most appropriate.

4 **MS. COPPOLA:** And we do have a  
5 Statewide learning collaborative while we -- where  
6 we're working with each provider that will be  
7 operating a Crisis Stabilization Center. So we're  
8 more than happy to also incorporate this conversation  
9 into that group. If there are resources or anybody  
10 would like to join and we can have a meeting  
11 dedicated to this intersection and to this  
12 collaboration. But what we're also talking about in  
13 that group is, you know, Crisis Stabilization  
14 Centers, for them to really be successful is the  
15 importance of their relationships within the  
16 community in order to get people to where they need  
17 to be. So while you may be receiving services for  
18 that crisis in the stabilization center, the goal is  
19 to connect you with all of those wraparound services  
20 so that you don't continue to need to go to the E.D.  
21 so that you don't continue to need -- to don't  
22 continue to fall into a crisis state.

23 So they will be working with law  
24 enforcement as well as hopefully E.M.S., but we  
25 wanted to definitely be able to help that

1 2/1/2024 – E.M.S. for Children – WebEx  
2 where a lot of centers are seeing where that  
3 interaction is going to happen just in their early  
4 stages of development as we continue to have  
5 conversations, of course, where the interaction can  
6 occur at different places to help with that diversion  
7 over time.

8 **MS. GOLDMAN:** And the good news is  
9 that what we've heard from some of these centers that  
10 again, are not operating yet as licensed, but have  
11 done -- have been doing the work, is the more work  
12 they do on the front end to educate community  
13 providers and community members about who is like an  
14 appropriate, what -- what types of crises ARE best  
15 suited for this center, the less that they're having  
16 that happen, you know, it's actually not that common.  
17 There's the DASH center out on Long Island. They say  
18 it's -- it's actually rather rare that they have to  
19 call 911 and say, oh, we've got someone here. And  
20 now turns out, you know, they -- they -- they -- they  
21 need more than we can give them. You know, they --  
22 they have to be transported to the E.R.

23 So I think that the goal is that we  
24 will try, you know, the centers, the providers are  
25 being encouraged to really work with their

1 2/1/2024 – E.M.S. for Children – WebEx  
2 conversation along. So anything we can do to be  
3 helpful in bridging some of those gaps we're more  
4 than happy to do.

5 **MS. GOLDMAN:** I'm glad you mentioned  
6 that, Alexa. Yeah. That is something the centers  
7 are designed to have the option for police drop off.  
8 So for individuals that are -- are being maybe, you  
9 know, potentially engaging with police and there's a  
10 determination that they could use psychiatric or  
11 mental health help, you know, as a diversion from  
12 maybe further involvement with criminal justice, that  
13 there's a specific, you know, in the program's  
14 design, the actual center's design, that there's a  
15 police drop off area and things like that. So.

16 **MS. COPPOLA:** I do have a few more  
17 slides, but those will be shared with the group. And  
18 I don't want to take up too much more time of your  
19 meeting. So I was wondering, what do you think Amy  
20 is the best to do?

21 **CHAIR COOPER:** I'm sorry, I'm -- I'm  
22 not quite following -- what will you --?

23 **MS. EISENHAUER:** Yeah. You're welcome  
24 to -- to share the last few.

25 **MS. COPPOLA:** Okay.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **MS. EISENHAUER:** We do have two other,  
 3 we have a discussion and another presentation as  
 4 well, though.  
 5 **MS. COPPOLA:** Well, I -- yeah, I don't  
 6 want to -- I don't want to take that time up. We  
 7 will be sending out the information more detailed  
 8 from the slide so that you can see exactly where the  
 9 Crisis Stabilization Centers, it's broken out by  
 10 intensive and supportive, the counties they'll be  
 11 located in, and that contact information for each  
 12 center if you're interested in reaching out. And we  
 13 also have in the slides our general inbox if you  
 14 would like us to present anywhere to provide more  
 15 clarification, please feel free to reach out to that  
 16 as well.  
 17 **MS. EISENHAUER:** Yeah. And for --  
 18 **CHAIR COOPER:** When do you anticipate  
 19 that these are going to be stood up?  
 20 **MS. COPPOLA:** They're at various  
 21 stages of development. So Helio Health in Syracuse  
 22 was the first to receive an operating certificate.  
 23 They began, I believe December 11th seeing recipients  
 24 at their intensive Crisis Stabilization Center. But  
 25 we do expect to see a few be -- you know, get to that

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 said?  
 3 **CHAIR COOPER:** Yes, please.  
 4 **MR. VAN DER JAGT:** Yeah. I'd be glad  
 5 to do that. Amy, I have the -- I can share my -- the  
 6 presentation that you -- that I sent to you, and then  
 7 you revise a little bit in terms of putting it in the  
 8 right format. Is that okay?  
 9 **MS. EISENHAUER:** Yes. And I think  
 10 Peter is giving you control to share.  
 11 **MR. VAN DER JAGT:** Okay. Let see if I  
 12 can --.  
 13 **MS. EISENHAUER:** You should have the  
 14 controls.  
 15 **MR. VAN DER JAGT:** Let's see. I think  
 16 this is still on here. Yeah. Can you all see that?  
 17 Yes, Amy. Okay. Great. All right. Okay. So the -  
 18 - I'm hoping to make this relatively brief, but I  
 19 just wanted to bring this up as based on our last  
 20 conversations when we had our last meeting and this  
 21 has to do with the questions of procedural sedation  
 22 in emergency rooms in particular. And this actually  
 23 came up initially at a quality subcommittee of the  
 24 Society for Pediatric Sedation that I attended. I  
 25 was been -- I've been involved with that society,

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 stage throughout this year and some probably more  
 3 early 2025. So there are various stages at this  
 4 point, and we do have that in the spreadsheet, our  
 5 projections on where we -- when we think they will  
 6 open.  
 7 **CHAIR COOPER:** Okay. In the interest  
 8 of time, I'm going to ask that, is there any last  
 9 very quick questions for our guests and Jennifer,  
 10 Alexa, we really, really appreciate you coming. Any  
 11 last questions? Okay. And then Jen -- Jennifer, and  
 12 -- and Amy we'll look forward to figuring out a way  
 13 that we can get -- get everyone together in terms of  
 14 how we proceed with the pediatric agitation piece in  
 15 particular. Okay.  
 16 **MS. COPPOLA:** That's great. Thank  
 17 you.  
 18 **CHAIR COOPER:** So I -- I think we now  
 19 segue into and again, I hope with our agenda we can  
 20 stick with this you know, Elise van der Jagt talking  
 21 about the procedural sedation, and then on to Sharon  
 22 talking about our pediatric agitation education  
 23 program. Elise?  
 24 **MR. VAN DER JAGT:** Hi there. You want  
 25 me to talk about sedation now? Is that what you

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 which is a national organization of -- of providers  
 3 who provide comfort care, sedation, analgesia for  
 4 procedures, usually relatively short procedures.  
 5 And the question came up is, well,  
 6 what -- where are procedural sedations done? And are  
 7 we -- since the society is the one who is really  
 8 interested in making sure that there is high quality  
 9 of care, when it relates to procedures including  
 10 comfort including decreasing anxiety and decreasing  
 11 pain, we -- the -- it sort of became apparent that a  
 12 lot of these procedures are done not in children's  
 13 hospitals, but are actually done in community  
 14 hospitals. A large number of them in rural areas.  
 15 So as we discussed this then it became, well, this is  
 16 really an emergency medical services for children  
 17 kind of area and we should be talking actively about  
 18 this. And then I actually promised them that I would  
 19 do that at least in New York State being on the  
 20 forefront of this.  
 21 So these slides will give you a little  
 22 bit of my thoughts about it, and I will end up with a  
 23 number of questions that I would like to put before  
 24 this committee. I can advance this here. Okay. So  
 25 the idea here is to optimize pediatric procedural

1 2/1/2024 – E.M.S. for Children – WebEx  
2 sedation or shall we say, comfort in emergency  
3 departments. And the idea here is that can E.M.S.C.  
4 work together with a society for pediatric sedation,  
5 or at least conceptually have E.M.S.C. practice  
6 coincide or relate also to the S.P.S. practice, which  
7 the idea being that -- let's see if I can, this is  
8 not advancing here. Let me see how -- there we go.  
9 That both areas have a unique interest in doing the  
10 very best they possibly can for the kids out there.  
11 Now, when I looked at this, I thought I would first  
12 start with the readiness project because I figured  
13 that E.M.S.C. is probably already somewhat involved  
14 in this.

15 And this actually comes from the  
16 checklist on the E.M.S.C., the innovation site that  
17 we used for the readiness project, which we've talked  
18 about. And if you note here under this checklist,  
19 which we have on there, which is part of the  
20 readiness project and survey there -- this issue of  
21 sedation analogy to procedures, including medical  
22 imaging and a lot of areas of medications, and  
23 equipment, and pain scale assessments are actually  
24 need to be in place if you want to be considered a  
25 high-quality emergency department. So this is

1 2/1/2024 – E.M.S. for Children – WebEx  
2 know this, is striving to be the international  
3 multidisciplinary leader in the advancement of  
4 pediatric sedation by promoting safe, high-quality  
5 care, innovative research, and quality professional  
6 education. And the disciplines that are involved in  
7 peds sedation membership are critical care, peds  
8 anesthesia, peds emergency medicine. As you can see  
9 here, pediatric nursing, which of course translates  
10 across many different areas. Child life, dentistry,  
11 vascular access, and really is an international area  
12 that we practice in.

13 And so the committee of quality and  
14 safety then their job is to develop metrics for  
15 safety and quality, recommend standards and  
16 guidelines. It's a collaborative kind of metrics  
17 that we're looking at here, and broadly applicable to  
18 all sedation providers, which obviously include those  
19 who are practicing in the emergency department and  
20 also in inpatient areas. The goal then is really to  
21 optimize pediatric procedural sedation everywhere in  
22 both emergency and elective settings, and for both  
23 emergent and elective conditions. And there's been a  
24 lot of progress been made in doing really high-  
25 quality procedural sedation in these sort of non-

1 2/1/2024 – E.M.S. for Children – WebEx  
2 already being addressed in that checklist and it  
3 really coincides always with our always ready for  
4 pediatric patients that we have in New York State,  
5 that new program that has been generated now.  
6 I then also looked also at the  
7 E.M.S.C. website and realized that there are also  
8 several videos and documents that are available on  
9 that, again showing that this is an important area  
10 that we should be paying attention to. So things  
11 like needle related pain, I.V. insertions and a few  
12 other ones that I'll show you slides on. So clearly  
13 E.M.S.C. includes this kind of area already in what  
14 they consider, you know, part of the scope of  
15 practice for E.M.S.C. Here are the videos that you  
16 can see here videos. This is on the E.M.S.C.I.C  
17 website. Reduce your infant's pain during newborn  
18 blood test, just in time infant I.V. placement,  
19 communication card about this, pain management, this  
20 kind of thing is clearly all there.

21 And in fact, Neil Scheckter, who used  
22 to be in Connecticut, actually, psychological  
23 strategies even for, how do you deal with this?  
24 Oops, let me go back here. The Society for Pediatric  
25 Sedation on the other hand its mission, if you don't

1 2/1/2024 – E.M.S. for Children – WebEx  
2 emergent areas.  
3 You know, a lot of imaging oncologic  
4 procedures, burn dressings, inpatient vascular  
5 access. A lot of procedures that are for children  
6 are extremely uncomfortable, but that also include  
7 things more in more things like I.V. insertions and  
8 phlebotomy, especially in patients who have mental  
9 health issues, needle phobias, and behavioral  
10 management kinds of issues. So here are the things  
11 that we see sedation being used for in the E.D.  
12 Typically, obviously, I.V. insertions are really the  
13 large part of this, imaging issues, C.T.s and  
14 M.R.I.s, but also things like fracture reductions,  
15 wound cleaning, add dressing changes, debridement  
16 burn, including particularly burns, laceration  
17 repair, abscess drainage, and -- and any kind of tube  
18 insertions as you can see, catheterization or N.G.  
19 tubes placement.

20 So when I -- this is our -- the last  
21 couple slides, then, what I wanted to do then for our  
22 committee here is and when I put these in sort of  
23 questions that I'd like to sort of begin some  
24 dialogue about this. Are there opportunities for  
25 emergency departments to improve management of

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 pediatric procedural pain and anxiety, especially in  
 3 small emergency departments, which are largely in  
 4 community hospitals? We're not really talking as  
 5 much about children's hospitals, larger medical  
 6 centers that have many of these things in place. But  
 7 we're really talking about smaller areas where there  
 8 are not as many pediatric patients seen, and there  
 9 may be opportunity for improving.  
 10 We don't know the quantity of these  
 11 procedures. We don't know where they're being  
 12 completed. We don't know what's being used to  
 13 alleviate pain, anxiety, sedation with E.M.S. and  
 14 emergency department procedures. And then obviously,  
 15 currently, especially, we want to make sure there are  
 16 no disparities so that patients who are in rural  
 17 areas where they really are having only access to  
 18 community hospitals with maybe minimal pediatric  
 19 expertise ,they are seeing -- they're not getting the  
 20 optimal care as compared to patients who are being  
 21 seen at a sort of a high-level children's hospital  
 22 pediatric emergency room.  
 23 The second point here is education  
 24 about procedural sedation, analgesia necessary.  
 25 That's something we should think about. Is that

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 that had been published by Connecticut, a few folks  
 3 out there. And they actually did a regional  
 4 assessment of community hospitals about the use of  
 5 for I.V. insertions in community hospitals. And they  
 6 found out that only -- it was only being used two  
 7 percent of the time for the pediatric patients that  
 8 were seen there, and they were able to, through a  
 9 quality improvement project, to increase that to  
 10 forty-two percent.  
 11 So it was very timely, actually, to  
 12 recognize that this is an important area. There are  
 13 now, looks like some efforts being made to really  
 14 improve the care in particularly in community  
 15 hospitals relating to decreasing anxiety and  
 16 discomfort. And so I wanted to throw that out to the  
 17 committee, some of the thinking about that,  
 18 especially for those of you who are in -- directly in  
 19 pediatric emergency medicine. But obviously everyone  
 20 who is on this committee has -- I would love to hear  
 21 their thoughts about it. And including family  
 22 representation that's on the committee that would be  
 23 very helpful to do so. Thank you, Dr. Cooper. So.  
 24 **CHAIR COOPER:** Thank you. You know,  
 25 one thing that strikes me, Elise, that might be

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 something that we need to talk about a little bit.  
 3 Should the E.M.S.C. Advisory Committee develop an  
 4 advisory related to procedural sedation and analgesia  
 5 for hospitals in New York State? And then number  
 6 four, the Always Ready for Children emergency  
 7 department recognition program, is there a way that  
 8 the pediatric emergency care coordinators potentially  
 9 could play a role in these kinds of things? So I'm  
 10 throwing these questions on, that's the end of my  
 11 slides here, but now I don't know how to get out of  
 12 this. How do I do this? So do I just stop sharing  
 13 somehow?  
 14 **MS. EISENHAUER:** So wherever you're --  
 15 .  
 16 **MR. VAN DER JAGT:** Hang on. Yeah. So  
 17 let me see. I go back to --.  
 18 **MS. EISENHAUER:** Yeah. hang on one  
 19 second, Dr. Van der Jagt.  
 20 **MR. VAN DER JAGT:** Yeah. Sure.  
 21 **MS. EISENHAUER:** There we go.  
 22 **MR. VAN DER JAGT:** Thank you very  
 23 much. That's great. So the last thing I want to say  
 24 you is -- just happened to be that yesterday I  
 25 received in my email inbox notice of a publication

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 considered rather than developing a, you know, a  
 3 guideline ourselves might be to, if -- if the  
 4 committee chooses to do so, you know basically, you  
 5 know, call attention to existing guidelines that --  
 6 that, you know, are already nationally slash  
 7 internationally recognized, such as, you know, the  
 8 ones from in the Society for Procedure -- for  
 9 sedation in children that -- that you've alluded to,  
 10 that I seem to be, that rather than developing our  
 11 own guideline, you know, that might be easier unless  
 12 there's a, you see that there's a specific reason to  
 13 develop our own guideline, or -- or would it be  
 14 enough just to say, hey, we're concerned about  
 15 inconsistency and procedural sedation in emergency --  
 16 in emergency departments.  
 17 Were you aware of these guidelines? I  
 18 -- I -- something along those lines. I -- I'm not  
 19 sure, your thoughts on that.  
 20 **MR. VAN DER JAGT:** Yeah. I think, you  
 21 know, my -- my -- my thought thinking in general is,  
 22 I -- I don't know the extent of the issues here,  
 23 first of all, in New York State. My concern is, is  
 24 that, you know, is that -- that we don't know the  
 25 extent of the issues. We don't know what the



1 2/1/2024 – E.M.S. for Children – WebEx  
2 education is out there. We don't know what's  
3 actually being done. That is one of the -- one of  
4 the things I think to think about. Can we, like you  
5 said, I think your words, you know, draw attention to  
6 this with endorsement by the emergency and by our --  
7 our particular committee to start paying attention to  
8 this. And this is, again, I -- I -- I'd like to hear  
9 from some of the folks who are particularly who are  
10 in emergency medicine. So yeah.

11 **CHAIR COOPER:** Ed Conway has a  
12 comment, please. Ed Conway, hey.

13 **MR. CONWAY:** So this is quite a can of  
14 worms, obviously. And again, the underlying concept  
15 is who's doing what and how do we figure it out.

16 **MR. VAN DER JAGT:** Right.

17 **MR. CONWAY:** What most people don't  
18 want is another massive survey coming their way. I  
19 wonder if there's a way that somehow, we can tie this  
20 in, perhaps as an appendix to the pediatric readiness  
21 document that's going to go out there. There may  
22 even be some way to look at some of the data on it.  
23 I'm not familiar with all one hundred questions  
24 currently. To give us a gestalt for like -- like a  
25 starting point here. You, you know, again, that's

1 2/1/2024 – E.M.S. for Children – WebEx  
2 children's hospitals who are very well versed and  
3 experience numbers in the use of these medications.  
4 So I didn't read that Connecticut publication, it's  
5 great to increase your use of intranasal Versed to,  
6 you know, by a certain percentage in the E.D. as long  
7 as you don't have bad effects or bad outcomes of  
8 that. And I'm not sure that when we're talking about  
9 Statewide or some of these community centers, I think  
10 awareness of even non-pharmacologic ways to improve  
11 pain control and -- and comfort are -- are good.

12 But I -- it's more of an awareness. I  
13 don't know that I'd want to see practitioners who  
14 don't get the number of -- of procedures and don't  
15 have the same awareness and -- and education and  
16 other resources like the anesthesiologist or the  
17 critical care docs be doing some of this sedation.

18 **MR. VAN DER JAGT:** I -- I -- if I  
19 could respond to that just briefly, Pam. I think the  
20 -- what we are trying to deal with, certainly in  
21 Upstate New York is, you know, the kids coming in,  
22 they don't need to be admitted. They're -- they have  
23 -- have to undergo a procedure. Oftentimes they're  
24 just simple as an I.V. insertion or some hydration,  
25 but they're two or three hours away. And the -- the

1 2/1/2024 – E.M.S. for Children – WebEx  
2 just my two cents. But I think where we all could  
3 use some guidance, in particular the children with  
4 some -- some of the behavioral disorders that may  
5 already be on some medications, as we know, even in  
6 the children's hospitals are very difficult to  
7 sedate. And a lot of times we'll have to either call  
8 in our critical care, we have to do it ourselves or  
9 get our anesthesia colleagues.

10 So -- so I think that this is a much  
11 bigger issue. And I'm just putting it out there as  
12 to a way without ma -- making yet another, or go  
13 through some of the other organizations, you know,  
14 the -- the peds for example, the peds, right, they do  
15 a lot of research. There may be other ways to get  
16 some of this information. Just sharing that and  
17 don't have an answer. Just wanted to raise the  
18 issue.

19 **CHAIR COOPER:** Thanks, Ed. Anybody  
20 else?

21 **MS. FEUER:** Yeah. Hi, it's Pamela  
22 Feuer. I agree with Ed's description can of worms  
23 because the -- I think a lot of these studies and the  
24 sedation organizations and stuff are made up of  
25 people from the larger scale tertiary, quaternary

1 2/1/2024 – E.M.S. for Children – WebEx  
2 overarching concern is how do we manage the anxiety  
3 and discomfort, you know, for those kinds of kids?  
4 So we're not talking necessarily about here, you  
5 know, using propofol in a kid who, you know, needs  
6 some kind of, you know, fracture reduction. You  
7 know, these are relatively small procedures, but, so  
8 that's -- it's really, I like what you're saying  
9 about the use of tools, you know, child -- typically  
10 child life kind of tools that would be helpful in  
11 kind of relatively minor procedure.

12 And then start thinking about ways to  
13 reduce anxiety that have a fairly good positive  
14 response, but a low-risk response. So anyway, I see  
15 Dr. Calleo's hand up. I'd love to hear from you.

16 **MR. CALLEO:** Yeah thanks. This is  
17 Vince Calleo. So pediatric sedation is something  
18 that's near and dear to me. I do work in the P.C.D.  
19 for the large number of my emergency department  
20 shifts. And I think this is something that's come a  
21 long way in the last, you know, five to ten years  
22 since I've been here. And I will say that I  
23 primarily work in an academic center with pediatrics.  
24 So like Dr. van der Jagt said, I think there's a very  
25 big difference between what we see in, you know, the

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 -- the academia world compared to those who are  
 3 practicing in the community, knowing full well that  
 4 many people who work in smaller community emergency  
 5 departments are going to be trained either as  
 6 emergency medicine residents who may or may not have  
 7 a lot of pediatric experience.

8 Some only get a couple months during  
 9 their residency in total as opposed to others where  
 10 it's, you know, more continuously integrated. And in  
 11 other cases, for some smaller E.D.s, they may not  
 12 even have emergency medicine trained people, and they  
 13 may be, you know, other primary care providers like  
 14 family medicine or internal medicine that might not  
 15 have the same degree of familiarity. So I think  
 16 bringing awareness to this is really important. You  
 17 know, I'd be lying if I said I was fully well versed  
 18 in all of the, you know, most recent literature out  
 19 there in terms of, you know, the number of, you know,  
 20 people who are being sedated or having anxiety control  
 21 for something like peripheral I.V. insertion.  
 22 But I think it's certainly something that, you know,  
 23 would be worth looking into from this committee.

24 And I think that if we were to say,  
 25 you know, if we review what's out there and say we

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 like a smaller focus group, you know, looking at to a  
 3 little bit more, I'd be more than happy to  
 4 participate in it as well. If that's something you  
 5 think would be helpful.

6 **MR. VAN DER JAGT:** Thanks very much,  
 7 Dr. Calleo. That is -- that is great. I thought I  
 8 see a lot of it as well. And I personally, I do not  
 9 like intranasal Versed as everybody knows. I usually  
 10 use P.O. if I can, but in the E.D. sometimes it does  
 11 depend on the situation and how fast you need to get  
 12 an I.V. in and how can you do this, you know, so I  
 13 totally understand that. Dr. Bombard, I think had  
 14 her hand had hand up as well, so please.

15 **CHAIR COOPER:** Tiff?

16 **MR. VAN DER JAGT:** Tiff?

17 **MS. BOMBARD:** Hi. Sorry, I just had  
 18 to get my volume, my --

19 **MR. VAN DER JAGT:** No, that's okay.

20 **MS. BOMBARD:** -- video going there.  
 21 So I'm Tiff Bombard. I absolutely agree that any  
 22 support that you can give to small E.D.s is awesome.  
 23 And I don't want to downplay that in one little bit.  
 24 I do want to hand you a little bit of reassurance  
 25 that we're not doing kind of wild west medicine

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 really like these guidelines, then yes, we would, you  
 3 know, endorse them. It might be a great way to help  
 4 try to provide that for the community. But there may  
 5 be even an opportunity for us to, you know, think  
 6 about something on our own if we think that there's  
 7 something that might be a little bit different for  
 8 the individual areas that we serve. I mean, even  
 9 using, you know, intranasal Versed as an example,  
 10 I'll be honest with you, as a pediatric emergency  
 11 medicine doc, I actually don't love that if I have  
 12 the option to give P.O. Versed, because if you dose  
 13 that appropriately, it actually is much less  
 14 irritating to the children. It tends to be a little  
 15 bit more well tolerated, but it takes a little bit  
 16 longer to work.

17 So I think a lot of it depends on the  
 18 situation, and this is something where a lot of  
 19 people might not have a lot of familiarity. So if we  
 20 can kind of, you know, pool our expertise to help  
 21 provide even some simple recommendations or simple,  
 22 you know, sets of information to people, it might  
 23 help to really improve the overall care they can  
 24 provide for kids. So Dr. van der Jagt, if this is  
 25 something that you think would benefit from having

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 anymore in these small E.D.s. It's really not as  
 3 grim as you may have heard, but working at larger  
 4 receiving centers, I -- I understand having trained  
 5 at something that was a big receiving center. I  
 6 understand sometimes it feels that way, right? When  
 7 we receive patients from these small E.D.s, sometimes  
 8 we think, oh my God, who's working out there? And  
 9 what are you doing out there? Why is it all so  
 10 messed up?

11 So I've seen it from both sides.

12 Right now, I'm working in an eight bed E.D. and a  
 13 twelve bed E.D., two different places. And also, in  
 14 a larger -- larger receiving center. I work in the  
 15 northern part of New York State. So I work in three  
 16 places. So I both receive kids from small E.D.s, and  
 17 I treat kids in very small E.D.s with zero resources.  
 18 And when I say zero resources, I mean zero resources.  
 19 There's no O.B.G.Y.N., there's no nursery, there's no  
 20 I.C.U. There's no surgery, there's no  
 21 anesthesiology, there's a lot of no, if it ends at  
 22 all just we don't have it. So what you end up being  
 23 as an E.D. doc in these small places is a pretty good  
 24 generalist. We're sort of throwback doctors in this  
 25 day and age, and we see actually a surprising number

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 of children because they -- we're the only place to  
 3 go, right?  
 4 The pediatrician's offices are  
 5 overwhelmed during the day and closed at night. And  
 6 it's a two-hour drive to another hospital. So we  
 7 actually do see quite a few kids. The reassurance  
 8 I'll give you is that more and more and more, these  
 9 places are staffed by E.M. docs, not by family  
 10 practice docs as well has been in days of yore, and  
 11 that's changing really quickly. That's changed a lot  
 12 just over the last two or three years that we don't  
 13 have, you know, I think there's one E.D. in a two-  
 14 hundred-and-fifty-mile radius from me up here in  
 15 Saranac Lake that's staffed by E.D.P.s any amount of  
 16 the time. The rest are now staff -- staffed by  
 17 residency trained emergency physicians. And that's a  
 18 change just over the last three years. So this is  
 19 really new. What I will say is E.M. residencies also  
 20 have changed a lot.  
 21 And we're not seeing kids for two  
 22 months anymore. We are seeing kids all the way  
 23 through our residencies, and we're seeing a lot of  
 24 them. And, you know, I trained at Albany Med. We  
 25 spent a month -- a month in the PICU, and we were the

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 you that it's not as bad as it might feel when you're  
 3 on the receiving end. Because you're just getting  
 4 the worst cases, not the best.  
 5 **MR. VAN DER JAGT:** Thanks very much,  
 6 Dr. Bombard. If I could just respond to that as  
 7 well. I -- I -- first of all, I -- I didn't mean to  
 8 imply that folks in smaller community hospitals that  
 9 it was going to be, it's a wild west out there. But  
 10 I do -- I'm relatively aware having been in this  
 11 region for many, many years, that things don't always  
 12 go as rapidly in those areas as they do in larger  
 13 children's hospitals. I think for those E.D.s that  
 14 are doing these things that you sounds like you're  
 15 doing really, really wonderful. I'm not sure that  
 16 everyone is on that same page. And I think it was  
 17 just more looking at it from a consistency.  
 18 And incidentally, this is not only my  
 19 opinion, and this has been said across the board,  
 20 this did come up in the Society for Pediatric  
 21 Sedation, where there are many pediatric emergency  
 22 medicine physicians who live in kind of rural areas.  
 23 Are not in rural areas, they -- they have an outreach  
 24 area to the rural areas. So -- so anyway, I -- I --  
 25 I don't want to take up too much time. Dr. Cooper, I

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 only physician there at night as a resident including  
 3 there was not an attending on site when I was there.  
 4 Now that has changed. Albany Med now does have an  
 5 attending on site at night. However, they're not  
 6 next to the resident. The resident is out there, you  
 7 know, learning, right? And they do have some backup  
 8 if things go sideways. So, you know, lots of things  
 9 have changed really recently. What I will also say  
 10 to you is that, you know, I think sedation is  
 11 something we're really familiar with, and I agree  
 12 with you on the nasal medications, by the way. I  
 13 think they're not very effective.  
 14 But you know, we do a lot of both  
 15 procedural sedations and, you know, minor distractor,  
 16 child life kind of variety things where we're using,  
 17 you know, spinners, and flashy things, and toys, and  
 18 games, and sounds, and phones, and all of that stuff  
 19 is part of our daily practice out here in these tiny,  
 20 tiny E.R.s. So we're really, we're not on another  
 21 planet anymore, I promise. Again, I'm not trying to  
 22 discourage support. Support is support, right?  
 23 Like, you guys are seeing a ton more kids than we  
 24 are, and any suggestions you have are much, much  
 25 welcomed. But I, you know, again, I want to reassure

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 know we have some other things on the agenda here,  
 3 but --  
 4 **CHAIR COOPER:** We do.  
 5 **MR. VAN DER JAGT:** I'm sorry?  
 6 **CHAIR COOPER:** We do.  
 7 **MR. VAN DER JAGT:** Yeah. Yeah. So --  
 8 so maybe if someone's interested in looking at this  
 9 for the State, maybe Art, maybe that would be the way  
 10 to go with this. This was to sort of -- sort of get  
 11 us sort of involved in this effort. And because two  
 12 national organizations, E.M.S.C. and S.P.S. are both  
 13 interested in these areas, it just seemed to me that  
 14 it would be so cool, so to speak, if New York State  
 15 was on the front lines here trying to work together  
 16 in this -- in this area that is, you know, finally  
 17 beginning to develop some traction on both sides  
 18 these last several years.  
 19 **CHAIR COOPER:** Well, at least, you  
 20 know -- you know, the rules of life as well as I do  
 21 Elise. You know, you brought this issue to us and I  
 22 think in a very elegant and worthy way. And I think  
 23 Alex and Tiff have both contributed, you know, to  
 24 this conversation. What I'd like to suggest is that  
 25 the three of you and anybody else who might be

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 interested get together on a -- on a conference call  
 3 that I'm asking Amy to organize through us, you know,  
 4 and see where we -- see where we can go from here.  
 5 Does that make sense?

6 **MR. VAN DER JAGT:** It'd be wonderful  
 7 as far as I'm concerned, that is if Amy's willing to  
 8 help with that organizational piece. That would be  
 9 wonderful. So I've got Tiff and Vince and who else?  
 10 Pam, maybe I don't know other people.

11 **CHAIR COOPER:** And Conway was part of  
 12 it.

13 **MR. VAN DER JAGT:** And Conway was part  
 14 of that.

15 **MS. EISENHAEUER:** Yep. Sure, sure.

16 **CHAIR COOPER:** Yeah. Okay.

17 **MR. VAN DER JAGT:** All right. Thanks  
 18 very much.

19 **CHAIR COOPER:** Okay. Great. Okay.  
 20 Sharon?

21 **MS. CHIUMENTO:** All right. So just a  
 22 very brief report. I do want to just mention how we  
 23 got into this for those who are on this call that  
 24 were not -- had not been part of in the past. So we  
 25 decided several years -- several months ago that we

Page 109

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 potentially suicidal.

3 So -- so we had different people who  
 4 said that they would like to work on those particular  
 5 scenarios and develop some scripts for the videos.  
 6 But as of January 25th, when we had our last call,  
 7 very few of them were on that call, and we would --  
 8 we have no idea what the progress on it is, with the  
 9 exception of the -- the child with autism. One of  
 10 Mark Philippi, who also sits on SEMAC has done some  
 11 work with that. So we do have a little bit of  
 12 progress on at least that one scenario. So Chief  
 13 Pataky was on from the fire department of New York  
 14 there was on the call on -- on last week. And he  
 15 said he might have some resources that he was going  
 16 to try to tap into that, to -- to move this project  
 17 forward. So Chief Pataky, if you can just kind of  
 18 let us know what your progress is, that would be  
 19 wonderful.

20 **MR. PATAKY:** Certainly. Thank you,  
 21 Sharon. So I had a conversation just yesterday with  
 22 some of the folks from the E.M.S. Academy for the  
 23 F.D.N.Y., and we discussed the next steps that would  
 24 be necessary to, you know, start the scripting for  
 25 these videos. They've asked for a -- a point person

Page 111

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 were going to develop some kind of an educational  
 3 tool for specifically for E.M.S. providers on dealing  
 4 with patients with pediatric agitation. And -- and  
 5 the issue was that there was a lot of tools out there  
 6 that we could find that addressed adult issues that  
 7 addressed it, or in hospital settings, but not in the  
 8 pre-hospital settings and specifically for  
 9 pediatrics.

10 So back in December after our last  
 11 E.M.S.C. meeting, we did -- we had a conference call  
 12 our little workforce. And they decided that they  
 13 would like to go forward with developing some  
 14 scenarios that could be developed in some videos.  
 15 And -- and then we were going to -- and we came up  
 16 with some basic ideas for those scenarios. We had  
 17 three that we were going to do. One a -- a male --  
 18 male adolescent having a new onset of a mental health  
 19 issue is very agitated and paranoid after a fight  
 20 with his sibling. One that was a -- a school child  
 21 with developmental delay autism that is, it becomes  
 22 agitated where there's a change in -- in what his  
 23 normal schedule is, normal activities. And then one  
 24 that was a female adolescent who has just broken up  
 25 with her boyfriend and is very agitated and -- and

Page 110

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 in each of the videos. If we can't get a point  
 3 person, I'll volunteer myself to do it knowing the  
 4 scenarios that we're looking for, and we want to go  
 5 ahead and immediately start getting some scripts  
 6 together. We'll vet that through this committee to  
 7 make sure that, you know, everybody is on board with  
 8 the direction that we're going.

9 And once we have those scripts, we are  
 10 all hands on board for shooting the video and editing  
 11 it with, you know, partners from the State or anyone  
 12 else who has the capabilities to get these videos  
 13 out. But we, you know, once we get the script  
 14 together, once we're all in agreement that this is  
 15 the direction that we want to go for pre-hospital  
 16 care providers we are ready to go.

17 **MS. CHIUMENTO:** Wonderful. I will  
 18 contact the people who had said that they wanted to  
 19 work on those scenarios, and then I'll email you the  
 20 contact information for the people who I think would  
 21 be good point for people for your -- to work with  
 22 your folks. Also --

23 **MR. PATAKY:** That's great.

24 **MS. CHIUMENTO:** -- just to mention --  
 25 just to mention that SKDNY also had a PowerPoint that

Page 112

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 they had used in their training on agitation and on  
 3 de-escalation. So we are also going to incorporate  
 4 some of the -- the data point of the points from that  
 5 into the training when we - when we finish it. So  
 6 the training won't just be the videos, but we'll --  
 7 we will incorporate information about de-escalation  
 8 and safely working with these -- these patients out  
 9 in the E.M.S. environment along with the -- the --  
 10 these -- the -- the videos. And what our hope is to  
 11 develop a one-hour program that can be used on the  
 12 E.M.S. Academy and as -- as well as and around the  
 13 State by instructors or at conferences, things like  
 14 that. So -- so that's our goal.

15 And so we've made at least one more  
 16 step forward here, so I will make sure that I get you  
 17 that information very quickly. Any questions? Oh,  
 18 also, yes. And we would love if somebody who was  
 19 presented earlier would like to potentially be  
 20 involved on our -- on our work group conference  
 21 calls, it might -- that might be a good way for us to  
 22 interact with each other and -- and, you know,  
 23 collaborate together. So.

24 **MS. GOLDMAN:** That sounds great.  
 25 Thank you. I'm -- I'm glad I was able to sit in and

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 but I can give -- absolutely, I can give a short  
 3 update on where we are with our Pediatric Sepsis  
 4 Initiative.

5 **CHAIR COOPER:** Sure.  
 6 **MR. STATHIDIS:** So the -- the main  
 7 update that I have right now to share is that we are  
 8 getting very close with our 2021 sepsis report that  
 9 is data from 2021 that we've been working to -- to  
 10 compile into a new format, an interactive report.  
 11 It's going to be a dashboard report. It will be  
 12 publicly posted on the New York State Department of  
 13 Health website. That report includes both adult and  
 14 pediatric data. We are going to be doing risk  
 15 adjusted mortality rates for adults for this year,  
 16 but not for the pediatric population. We just do not  
 17 have enough data to -- to do that risk adjusted  
 18 mortality work for the pediatric population.

19 That does not mean that we're not  
 20 going to do it in the future. We just need to get a  
 21 bigger sample size to be able to do that risk  
 22 adjustment work. We're getting very close, as I  
 23 mentioned, to releasing that report. And when we do  
 24 get the approval to release that report, we're going  
 25 to extend an invitation to, you know, anyone who

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 hear this overview, and I look forward to hopefully  
 3 taking part.

4 **MS. CHIUMENTO:** Great.  
 5 **CHAIR COOPER:** That's great. Thank  
 6 you, Jennifer.

7 **MS. CHIUMENTO:** Please add her, please  
 8 add her to our listing.

9 **CHAIR COOPER:** Okay. Any questions  
 10 for Sharon? Thank you for keeping the ball rolling,  
 11 Sharon. I know it's herding the cats is the reason,  
 12 but you seem to be doing it. So there we are. So we  
 13 are now, I think, done with all new business, we're  
 14 up to our updates from our State partners and sister  
 15 advisory committees. Is Dr. Alda Osinaga with us  
 16 from -- from Quality and -- and Safety Sepsis --  
 17 Sepsis Initiative.

18 **MS. EISENHAUER:** George Stathidis is  
 19 here.

20 **CHAIR COOPER:** Oh, George. Wonderful.  
 21 Can you -- can you tell us where we are and give us a  
 22 brief update?

23 **MR. STATHIDIS:** Absolutely. Yeah.  
 24 And thank you, Dr. Cooper, and thank you for having  
 25 me here today. Dr. Osinaga was not able to attend,

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 would like to join us with -- with us on -- on a  
 3 walkthrough of that report. So we'll -- we would  
 4 love to show everyone kind of the -- the data that's  
 5 there, some of the key takeaways and -- and  
 6 essentially how to interpret some of the data there.  
 7 So that is coming soon. I don't have an exact  
 8 release date, but when we do get that release date,  
 9 we'll be letting all of our partners know. That  
 10 includes several of the members, I believe, on this  
 11 committee.

12 We'll be sharing that announcement.  
 13 And then, of course, you know, if -- if you would  
 14 like to share that beyond this group, we would be  
 15 happy for you to do so and -- and would welcome  
 16 anyone who would like to attend. So I -- I -- I  
 17 would say that's our biggest update right now.  
 18 There's been a lot of work going into this, and I  
 19 think a lot of data collection done across the State  
 20 on the Sepsis Care Improvement Initiative over the --  
 21 over the past few years. So we're excited to be able  
 22 to show the result of all that work.

23 **CHAIR COOPER:** Thank you, George.  
 24 **MR. STATHIDIS:** That is -- absolutely.  
 25 **CHAIR COOPER:** Yeah. I think, we'll -

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 - we're all looking forward to -- to the report.  
 3 Amy, correct me if I'm wrong, we next meet in June,  
 4 correct?  
 5 **MS. EISENHAUER:** We next meet May 6th  
 6 and that will be in person at the Hilton Garden Inn,  
 7 and that meeting will be at twelve noon, so it'll be,  
 8 instead of our normal one to four, it's going to be  
 9 twelve to three. Because our meeting overlaps a  
 10 little bit with the program agency meeting, and I  
 11 have to be at both.  
 12 **CHAIR COOPER:** Okay. No problem. So  
 13 George, please make note of that date I hope that --  
 14 I'm presuming that, that there will be a -- a report  
 15 available by that date, May, early May and I'm hoping  
 16 that you or Alda or someone from the Sepsis  
 17 Initiative will be able to share that data with us at  
 18 that time. Sound good?  
 19 **MR. STATHIDIS:** Yes. Absolutely, Dr.  
 20 Cooper, that's my hope as well, and we would be happy  
 21 to -- to join you again in May.  
 22 **CHAIR COOPER:** Thank you so much.  
 23 **MR. STATHIDIS:** Thank you.  
 24 **CHAIR COOPER:** Questions for George?  
 25 Hearing none Peter Dayan, or -- and or Brian

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 now. We're conducting three focus groups in Albany,  
 3 Rochester, and Yonkers to learn more about what  
 4 community members believe are barriers to vulnerable  
 5 road user safety.  
 6 And we define vulnerable road users as  
 7 road users who have less protection and are more at  
 8 risk in traffic. So that's pedestrians, bicyclists,  
 9 and other cyclists, so people who walk, bike, or  
 10 roll. So we're going to be conducting those focus  
 11 groups. We're starting in Albany. We're very  
 12 excited. We've been able to work with Albany's  
 13 Metropolitan Planning Organization, and we are  
 14 hopeful that our first focus group will be with folks  
 15 at Albany High School. So we'll be with high school  
 16 students who are, you know, likely to be walking,  
 17 cycling to school, using public transportation.  
 18 So we are -- we're excited to be able  
 19 to talk with those students and get some input and --  
 20 and figure out ways that we can kind of bridge the  
 21 gap for their safety. And then in addition for our  
 22 motorcycle safety program, we're continuing with our  
 23 ride drive care campaigns. That's targeting car  
 24 drivers and motorcycle riders. We're producing a  
 25 second public service announcement that's focusing on

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 Clemency, are you on for the PECARN report?  
 3 **MS. EISENHAUER:** I don't believe so.  
 4 Dr. Dyan had emailed me earlier and said that he  
 5 would have to jump off early. So he was here  
 6 earlier, but he had to leave. He did say that their  
 7 projects are ongoing and they don't have any new  
 8 updates.  
 9 **CHAIR COOPER:** Okay. Thank you. Our  
 10 colleagues from Injury Prevention now, Susan Stegich,  
 11 if I'm saying that correctly and Kris Alfonso, are --  
 12 are you with us at the moment?  
 13 **MS. ALFONSO:** Yes. Thank you so much  
 14 for having us. I'm Kris Alfonso from the Bureau of  
 15 Occupational Health and Injury Prevention. So we do  
 16 have some updates. I'll start with our pedestrian  
 17 program. So we do have a pedestrian safety campaign  
 18 media buy happening. The goal of this is to raise  
 19 awareness and reduce pedestrian related risks through  
 20 education and outreach on pedestrian related vehicle  
 21 and traffic law. With that, we're developing a new  
 22 tip card to raise awareness on understanding traffic  
 23 control devices at crosswalks and how to use those  
 24 devices for crossing safely. And then we're really  
 25 excited about a project that we have going on right

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 speed as a contributing factor to crashes. And we'll  
 3 have a P.S.A. that's going to focus on men ages  
 4 twenty-one to twenty-nine. And we also have a  
 5 publication that is going to be going along with  
 6 that, focusing on speed reduction for riders and  
 7 drivers. I'm going to turn it over to my colleague  
 8 Susan, who's also going to give some updates.  
 9 **MS. STEGICH:** All right. So under our  
 10 child passenger safety we have a pictorial video that  
 11 is still in the approval process, but we are  
 12 expecting to be able to produce that in the spring.  
 13 We are working on a counterfeit car seat publication.  
 14 The draft has been composed and approved by the  
 15 Governor's Traffic Safety Committee. We next are  
 16 meeting tomorrow with outreach and education and  
 17 that, yes, tomorrow. And then under our Younger  
 18 Driver Safety the publication, help younger drivers  
 19 stay alive. That is on our website, but it is  
 20 waiting to go to printing. Our health educator is  
 21 getting a group of products that need to be printed  
 22 at the same time so that it'll be done at that time.  
 23 Under our Driver Education Research  
 24 and Innovation Center, which is the DERIC program, we  
 25 have in the grant cycle we are setting up three

1 2/1/2024 – E.M.S. for Children – WebEx  
2 scheduled trainings for this curriculum. The first  
3 is already set for April 20th, and that's in  
4 Fairport. And with hopes for the next one to be in  
5 June, which will be in the Albany area, and then in  
6 August, hopefully in Long Island. So that's where we  
7 are with INOP.

8 **CHAIR COOPER:** Thank you so much. I -  
9 - did I miss -- did I miss it -- announcement about  
10 the Injured Community Implementation Group. I think  
11 that is meeting this month, is it not?

12 **MS. STEGICH:** And sorry, say that  
13 again.

14 **CHAIR COOPER:** The Injury Community  
15 Implementation Group meeting, I think that's coming  
16 up this month, is it not?

17 **MS. ALFONSO:** That is coming up, yes.  
18 Let me just pull up the date on that for you quickly.  
19 But we are having the I.C.I.G. meeting soon.

20 **MS. STEGICH:** Yeah. That's going to  
21 be February 21st.

22 **CHAIR COOPER:** Thank you so much.  
23 Great. Appreciate it.

24 **MS. STEGICH:** Thank you.

25 **CHAIR COOPER:** Any questions for our

1 2/1/2024 – E.M.S. for Children – WebEx  
2 anticipate that we'll get all of those in before the  
3 -- the June deadline for that. We are working on our  
4 additional deliverables for what would be our new  
5 budget period for starting in July.

6 And I know that Amy and Drew Fried in  
7 our mayoral region may have a -- some potential  
8 proposed deliverables. Because I do really enjoy  
9 that we've had those crossover activities between  
10 these two groups. I'd like to continue to see that  
11 happen. So we're working on that currently. And  
12 then again, we have mentioned the peds and O.B.  
13 toolkit pretty extensively already. And I did, for  
14 the sake of the minutes, I did want to extend the  
15 thanks to Dr. Kacica for helping us out with that.  
16 And -- and if there's anything that we -- you need  
17 from my side with that, because I will be sitting on  
18 that -- that activity as well. Please just let me  
19 know. I think that's all I have for today.

20 **CHAIR COOPER:** Thank you, Kate. Any  
21 questions for Kate? Okay. Drew Fried?

22 **MR. FRIED:** Yeah. Good afternoon.  
23 Can you hear me, okay?

24 **CHAIR COOPER:** We can.

25 **MR. FRIED:** Okay. Excellent. So I

1 2/1/2024 – E.M.S. for Children – WebEx  
2 injury prevention colleagues? Okay. Marilyn Kacica,  
3 do you have anything for us other than the -- the  
4 toolkit update?

5 **MS. EISENHAUER:** Dr. Kacica, I believe  
6 stepped off.

7 **CHAIR COOPER:** Okay. All right.  
8 Well, certainly the toolkit update is a very  
9 important piece. And you know, Amy, I just simply  
10 ask that you stay in touch with her, see if she needs  
11 any input from our group. Okay?

12 **MS. EISENHAUER:** Absolutely.

13 **CHAIR COOPER:** Thank you. Next Kate  
14 Butler do you have a report from Health Emergency  
15 Preparedness?

16 **MS. BUTLER-AZZOPARDI:** Thanks, Dr.  
17 Cooper. I do still have, I have just a few things  
18 that are germane to this group. As I briefed out  
19 previously, we are -- we were able to leverage doing  
20 the National Pediatric Readiness Assessment as a  
21 deliverable for our acute care facilities that we  
22 contract with. And I have been keeping Amy in the  
23 loop and giving her all of those reports as they're  
24 coming in. It's been a little bit slow, but it's  
25 also in the middle of the year. So we -- we -- we

1 2/1/2024 – E.M.S. for Children – WebEx  
2 just asked Amy if I could talk real briefly about a -  
3 - a project we started down here in Milan that we're  
4 going to mirror in our Lower Hudson Valley region,  
5 which is our southern county, north of New York City.  
6 And that would be our threat and hazard assessment  
7 program. One of the things we decided to do this  
8 year was look at on the impact probability, not only  
9 the risk, would it happen, but what the impact would  
10 be and how likely different things would happen and  
11 what charge within in O.H.A.P. looking at -- at risk  
12 populations. And one of those, of course, is our  
13 pediatric -- pediatric population. So we -- we  
14 targeted in -- in the survey one particular question,  
15 which was, to the best of your -- best of your  
16 knowledge, how likely could these hazards, and we  
17 list a bunch of hazards, have an impact on the  
18 pediatric population?

19 So I just wanted to talk about a  
20 couple of the hazards, not the whole list of things  
21 that we did, but just a few that we're finding some  
22 interesting results and things we're going to look at  
23 more closely, maybe even do some tabletops in the  
24 future. We, of course, do work with both our  
25 hospital and pre-hospital folks when we do these --

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 these tabletops, particularly the E.M.S. folks in the  
 3 different attachment areas. So one of them was  
 4 weather events, and we found that the likelihood was  
 5 pretty much middle of the road when we surveyed the  
 6 hospitals. You know, it's -- it's -- it's something  
 7 that's going to happen. We know it's going to  
 8 happen. These weather events can be from hurricane,  
 9 nor'easter, severe storms, both have summer, winter  
 10 types and -- and -- and we seem to get those all the  
 11 time.

12 So no one at this point is going  
 13 either way on them. We looked at electrical power  
 14 outages and it was felt, those are probably not  
 15 likely, although we did have that big one during  
 16 Superstorm Sandy a number of years ago. And during  
 17 the first time of COVID, we had a fairly major storm  
 18 that did cause some blackouts Downstate in the Nassau  
 19 Suffolk area. But again, they're not looking at that  
 20 being a likely thing that's going to happen to affect  
 21 the pediatric population. M.C.I. hazmat was not  
 22 overall likely. But hazmat itself affecting  
 23 pediatric patients. So of course, the M.C.I. one  
 24 would be a rail accident, a trucking accident, some  
 25 type.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 did unfortunately did pass away. Again, it -- it's  
 3 something that doesn't seem to be something they look  
 4 to as being something they need to plan for. And the  
 5 last thing was the active shooter and that was  
 6 somewhat likely, you know, we do drill all the time  
 7 with the -- the schools and the hospitals together in  
 8 E.M.S. works for a school and museum and children's -  
 9 - children activity centers and so on throughout the  
 10 region.

11 So that was considered somewhat  
 12 likely. So we're going to continue to flush this  
 13 out. Continue to put all this together. You know, I  
 14 continuously talk to you, Amy, about a lot of these  
 15 things and as we move forward, you know, we'll  
 16 definitely let this group know where, you know, our  
 17 pediatric hazards and threats, you know, may come  
 18 from and how, you know, the hospitals. Because  
 19 again, primary focus of my program is the hospitals  
 20 is looking at mitigating and training for, and also  
 21 reaching out to E.M.S. folks in the captured area.  
 22 So anyone has any questions I will take them.

23 **CHAIR COOPER:** Questions for -- for  
 24 Drew?

25 **MS. EISENHAUER:** I have a question.

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 But they did feel that hazmat itself  
 3 would be and we are looking at mostly things like  
 4 plumes and we've had a couple of big box stores in  
 5 the past catch fire. one was a pool store, so we had  
 6 chlorine, one was a major pesticides big box store  
 7 nursery. And we had of course organic phosphate  
 8 plumes now in the air. And at both of those, there  
 9 were healthcare facilities and schools in that  
 10 particular area. So that one was kind of like very  
 11 likely, which was interesting. Extreme heat, again,  
 12 middle of the road, how it would affect the peed --  
 13 pediatric population as far as E.D. visits, primary  
 14 care visits, trauma center visits, and so on. Poor  
 15 air quality, although we just went through a -- a  
 16 very big poor air quality week when we had the  
 17 Canadian fires.

18 Again, it would seem to be a not  
 19 likely situation that we'd be looking at on a regular  
 20 basis. And then of course there was just a general  
 21 M.C.I. pediatric. Again, it was considered not  
 22 likely, although we do know we have school trips. We  
 23 did have, you know, a -- a large accident with a -- a  
 24 bus on I-84 up in the Hudson Valley region where we  
 25 did have a number of folks on board injured and or

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 **CHAIR COOPER:** Sure.  
 3 **MS. EISENHAUER:** So you mentioned that  
 4 there was the feeling that many of these potential  
 5 disasters were not likely even though we have  
 6 recently had some of those incidents occur. Was this  
 7 database, was this kind of a survey where, you know,  
 8 the responses were how likely do they feel at their  
 9 facility? How was that information collected?

10 **MR. FRIED:** All right. So this was  
 11 put out in a SurveyMonkey format where the question  
 12 was asked. And there was a -- a rating scale of one  
 13 to five, five being most likely, one being of course  
 14 least likely. We then also looked at for some of the  
 15 events, how it would affect us over time. Would it  
 16 be less than two weeks of an impact, greater than two  
 17 weeks of impact? This is just a small sampling of  
 18 what we actually did. And this is only phase one,  
 19 phase two of our program is going to be looking at  
 20 the capability and capacity for these events.  
 21 Although, yes, you are right. We had a few of these  
 22 events that have happened when we look at  
 23 probability.

24 But when we do, when the hospitals do  
 25 a true H.V.A. where they're actually scaling it, they



1 2/1/2024 – E.M.S. for Children – WebEx  
 2 look at facets of the possibility of it happening in  
 3 that year one to twenty percent, twenty to forty  
 4 percent, forty to sixty percent, sixty to eighty  
 5 percent and eighty to a hundred percent. So it's not  
 6 based on history. Such as Superstorm Sandy, we told  
 7 everyone the following year, don't rate storms,  
 8 number one. Yes. And it happened. Just the  
 9 probability of it happening again. Hopefully not,  
 10 statistically we would say no. Although we had Irene  
 11 so we had two years in a row.

12 **MS. EISENHAUER:** Exactly.

13 **MR. FRIED:** (unintelligible) but when  
 14 we look at the H -- when you look at the  
 15 H.V.A .program, which Kaiser Permanente is one of the  
 16 biggest, it actually puts it out. The probability is  
 17 nothing. It's not history. It's do you think it can  
 18 happen? And you got to look at a number of things  
 19 for that could be the weather for the last ten years  
 20 when it comes to storms or the history of something  
 21 in for a particular thing. Active shooter. Yeah.  
 22 The probability, although it happens throughout the  
 23 country for us down here, has been kind of forty  
 24 percent. Because it can happen, but no one's looking  
 25 to gear it up and saying, hey, we know that's going

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 meeting next week. But State council did meet do you  
 3 have a -- an update for us from SEMSCO?

4 **MR. MCEVOY:** Yeah. So I can give you  
 5 a little bit of feedback on what's happening coming  
 6 up next week and what happened at the meetings in  
 7 December. So obviously you heard from Ryan earlier  
 8 about the budget and we're pretty excited to once  
 9 again be included in a big way with that. One of the  
 10 thing -- things that will come up at the meeting next  
 11 week is, as you know, the SEMSCO was charged in last  
 12 year's governor's budget legislation to develop  
 13 performance standards for E.M.S. agencies, dispatch  
 14 centers, and emergency departments.

15 We had a group that's been working on  
 16 that for the last several months. And they  
 17 consolidated the work that had been done by several  
 18 of the SEMSCO committees into four, four or five, I  
 19 don't remember off the top of my head,  
 20 recommendations to the SEMSCO for adoption. And from  
 21 those, the SEMSCO will probably pick two of those  
 22 that will then become legislated performance  
 23 standards Statewide. So that's to be continued. One  
 24 of the hot topics that was discussed in December and  
 25 May actually get moved with a motion next week, is

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 to happen tomorrow.  
 3 **MS. EISENHAUER:** And then just one  
 4 follow-up question. You did mention weather  
 5 emergencies and you did mention Hurricane Sandy and  
 6 Irene and I did get to work in both of those storms  
 7 down in the New York City area. So yes, they were  
 8 quite something. However, over the past three to  
 9 four years, there's been major flooding incidents in  
 10 New York City and that surrounding area. Is that one  
 11 of the weather events that we're looking at, or?

12 **MR. FRIED:** I actually have flooding  
 13 kind of teased out separately. I did not include  
 14 that, you know, looking at that today for you, I was  
 15 looking more just at the storm first thing. Flood is  
 16 going to be pulled out separately because this, it is  
 17 happening more and more and it's happening more  
 18 because of the geography of the land is changing all  
 19 the time as we move forward with progress.

20 **MS. EISENHAUER:** Yeah. Okay. Thank  
 21 you.

22 **CHAIR COOPER:** Thank you, Drew. Any  
 23 other questions for Drew? Okay. Mike McEvoy, are  
 24 you with us? I know that -- that SEMAC did not meet  
 25 in December for lack of a quorum. But they are

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 the sunseting of the E.M.T. critical care level  
 3 which exists only in New York State and nowhere else  
 4 in the United States.

5 There's been a lot of discussion about  
 6 the inability to continue to test at that level since  
 7 there really isn't a -- a valid curriculum. And as  
 8 these folks who do that are aging out, we're seeing a  
 9 -- a precipitous drop in the number of people who  
 10 remain cert -- certified at that level. For the last  
 11 three years, we've provided them an opportunity to  
 12 take an online bridge to go from critical care to  
 13 paramedic. And the numbers in those courses are  
 14 dropping now to just a handful each time that they  
 15 run them. So some date will probably be chosen at  
 16 the meeting next week to actually sunset that level  
 17 of certification.

18 There was in December, a significant  
 19 discussion on conflict of interests and for anyone  
 20 who was there at the meetings it was quite agitating,  
 21 I would say. So we're doing some presentations. We  
 22 did one this morning. We'll have one the Monday  
 23 night before SEMAC and SEMSCO to help do some ethics  
 24 training from the State ethics folks. And I think  
 25 that they'll also be in attendance at the SEMSCO

1 2/1/2024 – E.M.S. for Children – WebEx  
2 meetings next week on Wednesday to help clarify  
3 questions that members may have. You may know  
4 already that there are a whole set of regulations out  
5 for public comment on education and really minimal  
6 comments have come in about those. So it is possible  
7 that those regulations will be adopted once their  
8 comment period closes, which is in about another week  
9 or so.

10 And then, if necessary, they will go  
11 out again for another round of public comment. I'm  
12 not sure that any substantive comments have been  
13 received, which would result in them having to go out  
14 for another ninety-day comment period. But that  
15 remains to be seen. And there are some good things  
16 in education that have been incorporated into those  
17 regulations, which would extend the certification  
18 period, facilitate the use of other kinds of  
19 education besides classroom, do some more virtual and  
20 online training, and really revamp some of the  
21 problem regulations that inhibit us from being able  
22 to train the number of people that we need to train.

23 The Innovations Committee has a new  
24 chairman and that's Dr. Michael Redlener, who many of  
25 you may know from Mount Sinai. And he has been doing

1 2/1/2024 – E.M.S. for Children – WebEx  
2 And so the -- we have a representative  
3 from SEMSCO who's been serving on that committee. He  
4 came to SEMSCO and to the Innovations Committee  
5 somewhat concerned because one of the directions that  
6 that group has been leaning towards is not to respond  
7 by any law enforcement to any behavioral health  
8 emergency that occurs. And we have a strong concern  
9 about the protection of our providers when scenes  
10 turn violent. And so we're in the process of  
11 providing some feedback to that group so that we can  
12 come to some equitable conclusions about how those  
13 emergencies are best responded to. And then the last  
14 piece is another very controversial work group that  
15 has been meeting fairly regularly chaired by Dr. Paul  
16 Barbara, who several of you probably know from the  
17 New York City area.

18 And that's a group that's been working  
19 on credentialing. And there has been a great deal of  
20 discussion between the bureau and the regional  
21 program agencies on their ability to credential  
22 paramedics, how they do that, how they de-credential  
23 people. And so that group has been working through  
24 that, that whole controversy, and will ultimately  
25 come out with recommendations to the bureau and

1 2/1/2024 – E.M.S. for Children – WebEx  
2 some work over the last month since he took over the  
3 Committee on treatment in place and transport to  
4 alternate destinations. Because of the success of  
5 the E.M.S. community across New York State last year  
6 in getting direct payment approved by the legislature  
7 and then signed by the governor we have legislators  
8 tripping over each other, coming to the E.M.S.  
9 associations around the State to see what they can do  
10 to help us this year. And the big push has been  
11 approving payment for treatment in place and  
12 transport to alternate destinations.

13 So the Innovations Committee has been  
14 working on the -- the language for those and will  
15 help facilitate the process once some of those things  
16 are -- are put in place. As you know they were  
17 included in -- in the governor's budget. And so I  
18 think we'll definitely see something happen along  
19 those lines. Innovations has also been involved with  
20 the task force on Daniel's Law, which is a group that  
21 was put together for helping to fix some of the  
22 issues with response to mental health problems that  
23 occur. And the impetus behind that was some adverse  
24 interactions with law enforcement and people with  
25 mental illness that did not end well.

1 2/1/2024 – E.M.S. for Children – WebEx  
2 perhaps recommendations for new regulations that will  
3 help to facilitate that process -- process and  
4 standardize it across the State. So kind of in a  
5 nutshell, that's what's been happening and what will  
6 happen. So to be continued. Happy to take any  
7 questions.

8 **CHAIR COOPER:** Thank you, Mike.  
9 Sounds more like a coconut shell than a nutshell if  
10 that's where we are. Lot of -- lot of stuff has  
11 happened. Any questions for Dr. McEvoy? Hearing  
12 none. Let's move on to State trauma groups. Kim  
13 Wallenstein, are you with us today?

14 **MS. WALLENSTEIN:** I am. So thank you,  
15 Dr. Cooper. So the STAC met last week and the  
16 pediatric subcommittee also met as well. And I'll  
17 briefly go over what we talked about there. We sort  
18 of focused on three different areas. One was our own  
19 internal investigation of our quality reports. And  
20 that was our T group reports that we went over to see  
21 if we were truly high outliers in any areas. We  
22 reviewed those things amongst ourselves. The more  
23 robust conversations occurred around pediatric  
24 readiness. We heard earlier from Amy Eisenhauer  
25 about the Always Ready for Children program. And we

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 talked a lot about that and how we are best suited to  
 3 help with that initiative and get the word out to  
 4 smaller hospitals.  
 5 I know from even my local region, I'm  
 6 up in the central New York region. We had our ARTEC  
 7 meeting recently too, also last week. And even after  
 8 Amy has come around and talked to that group, and  
 9 I've talked to that group still, the, you know, when  
 10 we mentioned the, the Always Ready for Children  
 11 program, there's a bunch of just crickets in the room  
 12 and wide eyes of people looking like they want to be  
 13 somewhere else, so. So I think that there's a lot --  
 14 there's a lot of challenges in -- in that program and  
 15 getting that out to, especially the smaller  
 16 hospitals. And we are looking forward to helping  
 17 with that initiative however we can. The third thing  
 18 that we talked about was we had a -- a little bit of  
 19 a discussion about apps, like phone apps and iPad  
 20 apps that exist for E.M.S. providers to take care of  
 21 children.  
 22 We had a brief presentation on, I  
 23 think an app that was previously supported, the  
 24 Handtevy app, but then it was mentioned that there's  
 25 a Muru app that is now the newest supported app. I

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 vital signs back, no matter what is done, you're  
 3 considered – you're considered D.O.A. If you arrive  
 4 in the E.D. with no vital signs and or, you know,  
 5 poor vital signs and work is undertaken to  
 6 resuscitate you which is unsuccessful, that counts as  
 7 the D.I.E. So that's the short and sweet of it. The  
 8 other big registry issue had to do with the fact that  
 9 there's the new vendor in town and, you know, there's  
 10 -- there's been a push to get everybody on board --  
 11 board with the new registry vendor you know, by  
 12 January 1st of next year.  
 13 And I think the registrar's uniformly  
 14 felt that that was too ambitious a timetable given  
 15 the fact that switching over from one register to  
 16 another always takes a very, very long time. So that  
 17 is in discussion with the department at the moment.  
 18 I don't have an update beyond the fact that the issue  
 19 was brought to the department. And you know I'm sure  
 20 there'll be further discussion on it. I think those  
 21 were the really big issues that came out of the --  
 22 the main STAC meeting. Of course, the -- the issues  
 23 that Kim mentioned, I think are of greater concern to  
 24 us than the STAC at large. But those two issues that  
 25 I mentioned will certainly affect the pediatric

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 am now updated on that app. I talked to one of the  
 3 people from that this week. And that seems like a  
 4 really important tool that E.M.S. providers can use.  
 5 And I'll be looking forward to sort of polling at  
 6 least my region and seeing how that is being used  
 7 among the E.M.S. providers and bringing that report  
 8 back to the STAC committee. And that briefly was  
 9 about it.  
 10 **CHAIR COOPER:** Thank you, Kim. Any  
 11 questions for Kim? I do have one small addition  
 12 after -- after you have questions for Kim from the  
 13 group. Hearing none, I think the -- probably the big  
 14 issues at the main STAC meeting, which affect Peds as  
 15 well have to do with some registry issues. The first  
 16 one, focusing on a -- a streamlined definition of  
 17 D.O.A. versus D.I.E. That's always been a bone of  
 18 contention. You know, among, you know, the trauma  
 19 registry community, not only Statewide but  
 20 nationwide. But the new definitions have been, you  
 21 know, have been suggested and approved by the STAC.  
 22 You know, which I won't get into now, except to say  
 23 that they make life a whole lot simpler.  
 24 The short and sweet is that if you  
 25 arrive in the E.D. with no vital signs and never get

1 2/1/2024 – E.M.S. for Children – WebEx  
 2 trauma centers as well. Any questions for me or Kim  
 3 on either of those items?  
 4 Okay. Well, wow. I did not -- I did  
 5 not think we would ever get this agenda finished in  
 6 three hours. We had a lot to discuss, a bunch of  
 7 different presentations from experts in various areas  
 8 and a lot of work to do over the next few months.  
 9 But the committee has really stepped up in the last  
 10 little bit here and, you know, we are on a roll, so  
 11 I'm looking forward to our meeting in May. I think  
 12 you said May 8th. Am I right, Amy? At the Troy  
 13 Hilton Garden Inn. Amy?  
 14 **MS. EISENHAUER:** Yes. Sorry, I had to  
 15 get to the mute button because I'm using Ryan's  
 16 computer set up and it's --  
 17 **CHAIR COOPER:** No problem.  
 18 **MS. EISENHAUER:** -- much different  
 19 than mine. So --  
 20 **CHAIR COOPER:** It's May 8th, still?  
 21 **MS. EISENHAUER:** No, no, that is  
 22 wrong. Hang on, I have it here. So --  
 23 **CHAIR COOPER:** Thank you for  
 24 correcting me.  
 25 **MS. EISENHAUER:** -- E.M.S. for



<b>A</b>	
<b>A.A.P</b> 27:19 28:25 29:11,17	<b>adjacent</b> 73:20
<b>A.A.P.'s</b> 30:11	<b>adjourn</b> 141:23
<b>A.C.R.4s</b> 17:18	<b>adjourning</b> 142:2
<b>ability</b> 10:2 12:6 24:12 44:21 46:22 57:18 66:8 75:21 135:21	<b>adjournment</b> 141:22
<b>able</b> 9:13 40:7,8 43:19 46:12 47:19 48:23 49:16 58:25 59:11 59:14 64:11 66:5 67:23 68:20 73:5 77:20,21 78:15 83:25 95:8 113:25 114:25 115:21 116:21 117:17 119:12,18 120:12 122:19 133:21	<b>adjust</b> 17:18
<b>abscess</b> 92:17	<b>adjusted</b> 115:15,17
<b>absolutely</b> 11:13 28:19 29:25 103:21 114:23 115:2 116:24 117:19 122:12	<b>adjustment</b> 115:22
<b>academia</b> 101:2	<b>administer</b> 77:2
<b>academic</b> 100:23	<b>administrative</b> 69:19
<b>Academy</b> 111:22 113:12	<b>administrators</b> 32:17
<b>accept</b> 6:10	<b>admitted</b> 99:22
<b>accepting</b> 6:23	<b>ado</b> 141:21
<b>access</b> 15:7 40:16,25 67:9,16,20 91:11 92:5 93:17	<b>adolescent</b> 70:24 78:18 110:18 110:24
<b>accessible</b> 68:13	<b>adolescents</b> 70:3 71:10 72:13
<b>accident</b> 125:24,24 126:23	<b>adopted</b> 133:7
<b>account</b> 37:19	<b>adoption</b> 131:20
<b>achieve</b> 33:6	<b>adult</b> 67:3 70:22 110:6 115:13
<b>acknowledge</b> 69:14	<b>adulthood</b> 66:9
<b>Act</b> 71:14	<b>adults</b> 70:9,18,20 115:15
<b>active</b> 30:5,8 127:5 129:21 141:17	<b>advance</b> 54:4,7 88:24
<b>actively</b> 88:17	<b>advancement</b> 55:21 91:3
<b>activities</b> 31:21 110:23 123:9	<b>advancing</b> 89:8
<b>activity</b> 123:18 127:9	<b>adverse</b> 134:23
<b>actual</b> 84:14	<b>advice</b> 17:24
<b>acuity</b> 40:17,18	<b>advisory</b> 1:5 7:12 17:13 22:11 25:9 27:2,6,9,9,21 30:12 31:10 32:4 33:5 34:10,22 94:3 94:4 114:15
<b>acute</b> 80:22 122:21	<b>advocacy</b> 31:25
<b>add</b> 36:14,15 92:15 114:7,8	<b>advocate</b> 32:10 33:13
<b>added</b> 16:4	<b>advocates</b> 32:19 69:9
<b>addiction</b> 60:10,11 65:9 68:22	<b>affairs</b> 8:25 13:8,16
<b>addition</b> 23:16 46:23 47:20 71:23 119:21 138:11	<b>affect</b> 125:20 126:12 128:15 138:14 139:25
<b>additional</b> 47:8,9 63:21 66:11 123:4	<b>afford</b> 53:8,9
<b>additions</b> 6:5,16	<b>aftercare</b> 68:4
<b>address</b> 18:11 20:20 74:17	<b>afternoon</b> 5:6 31:8 123:22
<b>addressed</b> 52:25 90:2 110:6,7	<b>age</b> 66:11 104:25
<b>adequate</b> 51:18	<b>agencies</b> 16:21 17:9 18:16 20:11 21:16 22:7,14,25 23:2,6,17,23 23:24 24:6,8 25:8 28:12,16 40:6 43:2,17 46:21 55:23 131:13 135:21
	<b>agency</b> 18:14 19:3 20:20 21:18 23:14 28:17,18 117:10
	<b>agenda</b> 3:9,10,16 6:2 16:13 30:8 58:21 61:12 62:12 86:19 108:2 140:5
	<b>ages</b> 120:3

**aging** 132:8  
**agitated** 14:20 74:4 110:19,22  
 110:25  
**agitating** 132:20  
**agitation** 8:19 58:22 73:18,24  
 74:9,13,23 75:8 76:10,12,21  
 86:14,22 110:4 113:2  
**ago** 8:14,14 39:10 109:25 125:16  
**agree** 52:6 58:14 71:22 98:22  
 103:21 106:11  
**agreement** 72:6 112:14  
**ahead** 3:21 6:8 10:16 29:25  
 112:5  
**air** 126:8,15,16  
**Akey** 2:7  
**Albany** 105:24 106:4 119:2,11,15  
 121:5  
**Albany's** 119:12  
**Albert** 2:13 4:7 78:12  
**Albert's** 78:19  
**Alda** 114:15 117:16  
**Alex** 108:23  
**Alexa** 2:3 59:5,10 61:2 62:10  
 63:3,20 64:24 69:24 71:20  
 72:15 79:17 80:10 84:6 86:10  
**Alexander** 2:3  
**Alfonso** 2:14 118:11,13,14  
 121:17  
**algorithm** 80:18  
**alike** 28:21  
**alive** 120:19  
**alleviate** 93:13  
**allocated** 45:21  
**allow** 43:2,23 45:6,9 79:21  
**allowed** 9:19,21 42:22  
**allows** 33:23 45:6 46:4  
**alluded** 96:9  
**alluding** 80:10  
**alternate** 134:4,12  
**alternative** 47:15 64:8  
**amazing** 33:12 48:25 58:13  
**ambitious** 139:14  
**ambulance** 8:18 12:8 19:15 39:13  
 39:18 40:23 56:13  
**ambulances** 35:25 40:5 46:20,20  
 52:16 78:15  
**amenities** 60:24  
**amount** 13:9 48:21 53:25 105:15  
**Amy** 2:4 3:6 5:18 6:19 7:20 9:25  
 11:2 12:20 13:5,14,22 14:5  
 15:8 16:3,14 22:4,18 24:15  
 25:17 28:11 30:18 33:10 34:8  
 34:13,18 37:4,6 38:2 48:8,9  
 49:24,25 50:12 51:2 58:21  
 59:3,20 84:19 86:12 87:5,17  
 109:3 117:3 122:9,22 123:6  
 124:2 127:14 136:24 137:8  
 140:12,13 142:8  
**Amy's** 10:11 109:7  
**analgesia** 88:3 93:24 94:4  
**analogy** 89:21  
**anesthesia** 91:8 98:9  
**anesthesiologist** 99:16  
**anesthesiology** 104:21  
**announce** 3:24 23:18  
**announcement** 116:12 119:25  
 121:9  
**answer** 21:2,16 56:18,23 98:17  
**answering** 13:11  
**anticipate** 85:18 123:2  
**anxiety** 88:10 93:2,13 95:15  
 100:2,13  
**anxiolysis** 101:20  
**anybody** 8:21 21:25 30:18 37:19  
 83:9 98:19 108:25 141:11  
**anymore** 104:2 105:22 106:21  
**anytime** 15:21  
**anyway** 8:7 25:16 100:14 107:24  
**app** 137:23,24,25,25 138:2  
**apparent** 88:11  
**APPEARANCES** 2:2  
**appendix** 97:20  
**applicable** 91:17  
**applicants** 6:23  
**applications** 6:23  
**appreciate** 86:10 121:23  
**appreciative** 35:8  
**approach** 27:14,14  
**appropriate** 49:5 73:10 75:16,17  
 80:9 82:14 83:3  
**appropriately** 102:13  
**appropriateness** 61:17  
**approval** 3:11,16 6:2 13:12  
 115:24 120:11  
**approve** 6:4  
**approved** 6:19 13:16 48:14,14  
 120:14 134:6 138:21  
**approving** 6:18 134:11  
**apps** 137:19,19,20  
**April** 34:15 121:3

**area** 14:15 30:8 40:8,8 46:4  
 56:21 70:16,17 72:9 84:15  
 88:17 90:9,13 91:11 95:12  
 107:24 108:16 121:5 125:19  
 126:10 127:21 130:7,10 135:17  
**areas** 23:22 24:13 51:20 63:13  
 70:7,8,9 80:6 88:14 89:9,22  
 91:10,20 92:2 93:7,17 102:8  
 107:12,22,23,24 108:13 125:3  
 136:18,21 140:7  
**arrest** 41:20  
**arrive** 138:25 139:3  
**Art** 28:2,24 35:7 49:19 50:5  
 73:12 108:9  
**ARTEC** 137:6  
**Arthur** 1:9 2:4  
**Article** 43:10,13  
**artistic** 36:7  
**asked** 14:8 42:24 44:14 50:22  
 111:25 124:2 128:12  
**asking** 18:25 20:12 109:3  
**assertive** 71:14  
**assessment** 19:5 20:17 21:4,6  
 41:5 95:4 122:20 124:6  
**assessments** 67:25 89:23  
**assist** 27:20 67:17 74:2  
**assisting** 32:11  
**Associate** 60:11 61:9  
**associations** 134:9  
**assume** 39:17  
**Assurance** 61:10  
**attachment** 125:3  
**attack** 58:5  
**attempted** 21:14  
**attend** 114:25 116:16  
**attendance** 132:25  
**attended** 87:24  
**attending** 4:18 106:3,5  
**attention** 25:22 90:10 96:5 97:5  
 97:7  
**audio** 4:24 5:2  
**August** 121:6  
**authority** 44:18  
**authorized** 75:19  
**autism** 110:21 111:9  
**autistic** 36:8  
**availability** 70:17  
**available** 15:5 17:19 19:6 23:3  
 36:13 39:2,15 64:21 67:13,14  
 70:25 71:4 90:8 117:15

**avenues** 58:8  
**average** 47:5  
**aware** 73:6 96:17 107:10  
**awareness** 26:6 99:10,12,15  
 101:16 118:19,22  
**awesome** 5:20 7:22 61:2 103:22  
**aye** 142:2,3

---

**B**


---

**back** 5:15 11:15 14:12,12 16:13  
 16:25 28:17 32:3 36:22 49:25  
 59:2 72:15 90:24 94:17 110:10  
 138:8 139:2  
**backbone** 46:19  
**backend** 23:10  
**background** 39:7  
**backup** 106:7  
**bad** 99:7,7 107:2  
**bag** 8:18  
**Baitholts** 61:7  
**Baitsholts** 2:5  
**balance** 52:13  
**balancing** 47:24  
**ball** 114:10  
**Barbara** 135:16  
**barriers** 12:17 119:4  
**Barry** 2:6 4:7 5:12,13,13  
**base** 51:8  
**based** 48:3 68:8 87:19 129:6  
**basic** 110:16  
**basically** 24:23 96:4  
**basis** 126:20  
**bear** 38:8  
**Becky** 2:5  
**becoming** 33:22  
**bed** 104:12,13  
**beds** 47:23  
**began** 32:3 34:15 85:23  
**beginning** 45:17,24 56:23 108:17  
**behalf** 142:9  
**behavioral** 63:7,18 64:9,22  
 70:24 77:14 92:9 98:4 135:7  
**believe** 58:22 85:23 116:10  
 118:3 119:4 122:5  
**Ben** 5:10  
**beneficial** 27:4  
**benefit** 102:25  
**Benjamin** 2:5  
**best** 7:19 23:21 74:4 76:15  
 82:14 84:20 89:10 107:4

124:15,15 135:13 137:2  
**better** 25:4 26:6 34:3 50:17  
 74:25  
**beyond** 42:4 77:14 116:14 139:18  
**bicyclists** 119:8  
**big** 26:18 39:23 40:12 41:22  
 43:20 44:11 56:20 100:25  
 104:5 125:15 126:4,6,16 131:9  
 134:10 138:13 139:8,21  
**bigger** 98:11 115:21  
**biggest** 13:7 37:25 38:8 44:14  
 116:17 129:16  
**bike** 119:9  
**bill** 56:7  
**billing** 79:11  
**bit** 11:21 24:16 25:3,22 30:4,10  
 30:12 39:7 45:17 49:20 50:3  
 51:12 52:19 58:11 59:17 64:24  
 73:17 87:7 88:22 94:2 102:7  
 102:15,15 103:3,23,24 111:11  
 117:10 122:24 131:5 137:18  
 140:10  
**blackouts** 125:18  
**blanket** 36:3,20  
**blasts** 23:13  
**Bleau** 2:3  
**blood** 90:18  
**board** 78:18 107:19 112:7,10  
 126:25 139:10,11  
**bodies** 27:10 28:4  
**body** 27:9 56:14 58:8  
**Bombard** 5:5 103:13,17,20,21  
 107:6  
**bone** 138:17  
**book** 39:10 40:12  
**borrow** 11:11  
**borrowed** 11:10  
**bottom** 26:14  
**box** 28:22 126:4,6  
**boyfriend** 110:25  
**brainstorming** 7:17  
**branded** 14:13  
**Brandon** 2:6  
**breaking** 49:19  
**Brian** 117:25  
**bridge** 40:21 119:20 132:12  
**bridging** 84:3  
**brief** 37:13 61:22 62:2,13 87:18  
 109:22 114:22 137:22  
**briefed** 122:18

**briefly** 61:12 99:19 124:2  
 136:17 138:8  
**Brielle** 15:19  
**bring** 16:25 28:17 31:17 33:22  
 87:19 141:12,20  
**bringing** 38:20 41:12 42:16  
 73:24 101:16 138:7  
**brings** 51:17 78:18  
**broadens** 57:22  
**broadly** 65:14 91:17  
**Brodie** 2:18  
**broken** 85:9 110:24  
**brought** 8:24 13:6,6 75:4 108:21  
 139:19  
**Bruce** 2:6 4:7 5:12,13  
**budget** 38:9,11,15,17,24 39:9,10  
 41:24 42:18,20 48:24 49:5,17  
 123:5 131:8,12 134:17  
**Buffalo** 40:3 47:16  
**build** 8:15,16 16:25 58:3 66:20  
**building** 17:16 49:11  
**built** 61:15  
**bunch** 124:17 137:11 140:6  
**bureau** 37:9,14,20 48:22 60:22  
 61:3 118:14 135:20,25  
**burn** 92:4,16  
**burns** 92:16  
**bus** 126:24  
**buses** 35:19 47:15  
**business** 59:2 114:13  
**busy** 30:22  
**Butler** 122:14  
**Butler-Azzopardi** 2:12 122:16  
**button** 140:15  
**buy** 118:18  
**buy-in** 26:25

---

**C**


---

**C.M.E** 19:12  
**C.S.C.s** 70:23 74:7  
**C.T.s** 92:13  
**call** 3:10,19 5:2 7:7 32:17  
 39:13,18 54:20 61:7,10 62:20  
 63:8 74:14 78:3 80:11 81:23  
 82:19 96:5 98:7 109:2,23  
 110:11 111:6,7,14  
**called** 43:8 44:15  
**Calleo** 2:21 4:12,13 100:16,17  
 103:7  
**Calleo's** 100:15



**callers** 64:11  
**calls** 64:3,9 73:8 80:10 113:21  
**calming** 14:19 76:2  
**campaign** 118:17  
**campaigns** 119:23  
**Canadian** 126:17  
**capabilities** 112:12  
**capability** 77:2 128:20  
**capacity** 128:20  
**Capital** 15:19  
**Cappola** 2:3  
**caption** 143:5  
**captured** 127:21  
**car** 40:24 119:23 120:13  
**card** 11:21 12:4,5 36:4,6 90:19  
 118:22  
**cardiac** 41:20  
**cards** 9:7,8 14:10 36:5  
**care** 8:12 19:21 20:2,22 31:24  
 40:13,16,19 41:2,4,8 42:2,4  
 43:16,24 45:7,11,12,15 46:24  
 46:25 54:12,15,18,21 67:9,18  
 69:8,9 75:5 77:7 81:21 88:3,9  
 91:5,7 93:20 94:8 95:14 98:8  
 99:17 101:13 102:23 112:16  
 116:20 119:23 122:21 126:14  
 132:2,12 137:20 141:13 142:10  
**career** 24:8  
**careers** 53:14  
**Cari** 1:18 3:23 143:3,13  
**Carrie** 142:11  
**case** 39:19 65:23 71:12 78:21  
**cases** 75:16 77:18 80:9 101:11  
 107:4  
**casualty** 47:15  
**catch** 126:5  
**catchment** 46:4  
**catheterization** 92:18  
**cats** 114:11  
**cause** 125:18 143:4  
**cell** 49:22  
**center** 8:23 14:22 18:24 20:14  
 21:11 24:21 40:19 41:4 47:22  
 59:9 61:6 62:5,6 65:22 66:14  
 68:8,14 70:11,11 75:3 77:15  
 78:17 80:12 81:10,20 82:15,17  
 83:7,18 85:12,24 100:23 104:5  
 104:14 120:24 126:14  
**center's** 84:14  
**centered** 19:21 31:20 66:17  
 72:12  
**centers** 59:23 60:24 61:14,17  
 62:3,24 63:11,20 64:19 65:5  
 65:15 66:5 67:12,23 69:24  
 70:2,4,6 71:2,5,16 72:8 73:5  
 73:21,22,22 75:10,18 76:4  
 80:6,21 82:2,9,24 83:14 84:6  
 85:9 93:6 99:9 104:4 127:9  
 131:14 140:2  
**central** 137:6  
**cents** 98:2  
**cert** 132:10  
**certain** 10:19 99:6  
**certainly** 10:12 27:18,23 29:22  
 35:9 63:16 64:15 75:6,24  
 79:11 99:20 101:22 111:20  
 122:8 139:25  
**certificate** 85:22  
**certification** 132:17 133:17  
**certified** 44:15 65:8 132:10  
**certify** 65:6 143:3  
**chain** 11:23  
**CHAIR** 1:9 3:5,15,20 4:2 5:17,22  
 5:24 6:8,11,15 7:20,24 8:8  
 9:13,17,22 10:9 11:19 14:4  
 16:8,14 22:17 25:17 26:7,17  
 27:18 28:5,10 29:4,9,13,24  
 30:13,17,21 31:2,6 35:6 37:3  
 37:10 38:4 48:18 49:21 50:4,6  
 50:14,19 52:4 58:16,19 59:3  
 73:12,15 76:16 78:2 84:21  
 85:18 86:7,18 87:3 95:24  
 97:11 98:19 103:15 108:4,6,19  
 109:11,16,19 114:5,9,20 115:5  
 116:23,25 117:12,22,24 118:9  
 121:8,14,22,25 122:7,13  
 123:20,24 127:23 128:2 130:22  
 136:8 138:10 140:17,20,23  
 141:3,9,11,25 142:4  
**chaired** 135:15  
**chairman** 133:24  
**chairs** 32:8 142:8  
**challenges** 63:23 137:14  
**challenging** 38:13  
**change** 39:22 41:2 42:20,21  
 44:20 53:12 58:11 105:18  
 110:22  
**changed** 18:20,22 21:18 105:11  
 105:20 106:4,9  
**changes** 38:18 92:15

<b>changing</b> 105:11 130:18	28:11 78:4 109:21 112:17,24
<b>chapter</b> 29:13,17	114:4,7
<b>characteristics</b> 77:14	<b>chlorine</b> 126:6
<b>charge</b> 8:10 28:16 124:11	<b>choose</b> 44:3,4 55:14
<b>charged</b> 131:11	<b>chooses</b> 96:4
<b>chat</b> 14:7 28:22 78:10	<b>chose</b> 43:25
<b>check</b> 16:7 19:13 35:2	<b>chosen</b> 132:15
<b>checklist</b> 19:8 89:16,18 90:2	<b>Christina</b> 2:7
<b>chief</b> 5:5 24:7,8 54:20 60:11	<b>circle</b> 11:22
111:12,17	<b>circulated</b> 61:24
<b>child</b> 12:11 30:6,7 48:18 65:24	<b>circumstances</b> 77:3
66:2 70:22,24 71:6,8,24,25	<b>City</b> 124:5 130:7,10 135:17
74:12 78:17 91:10 100:9,10	<b>civil</b> 32:15
106:16 110:20 111:9 120:10	<b>clarification</b> 85:15
<b>childhood</b> 30:7	<b>clarify</b> 80:12 133:2
<b>children</b> 1:1,4 2:1 3:1 4:1 5:1	<b>clarity</b> 79:21
6:1,21,22 7:1,12 8:1,15,20,22	<b>classroom</b> 133:19
9:1 10:1 11:1 12:1,15 13:1	<b>Clayton</b> 2:7
14:1 15:1 16:1,17,23 17:1	<b>cleaning</b> 92:15
18:1,5 19:1,19 20:1 21:1,20	<b>clear</b> 20:15
22:1,20 23:1 24:1 25:1 26:1	<b>clearance</b> 78:20
27:1 28:1 29:1 30:1,15 31:1	<b>clearly</b> 90:12,20
32:1,4,6 33:1,15 34:1,2 35:1	<b>Clemency</b> 118:2
36:1,8 37:1 38:1 39:1 40:1	<b>clinic</b> 75:23
41:1 42:1 43:1 44:1 45:1 46:1	<b>clinical</b> 14:25
47:1 48:1 49:1 50:1 51:1 52:1	<b>clinically</b> 79:8,8
53:1 54:1 55:1 56:1 57:1,4	<b>close</b> 115:8,22
58:1 59:1 60:1 61:1 62:1 63:1	<b>closed</b> 22:25 59:15 105:5
64:1 65:1 66:1 67:1 68:1 69:1	<b>closely</b> 79:25 124:23
70:1,2,8,19,20 71:1,9,19 72:1	<b>closer</b> 50:16
73:1 74:1 75:1 76:1 77:1,13	<b>closes</b> 133:8
78:1 79:1 80:1 81:1 82:1 83:1	<b>CMAC</b> 48:12
84:1 85:1 86:1 87:1 88:1,16	<b>co-chairs</b> 32:8
89:1 90:1 91:1 92:1,5 93:1	<b>co-joined</b> 7:11
94:1,6 95:1 96:1,9 97:1 98:1	<b>co-mingling</b> 70:18
98:3 99:1 100:1 101:1 102:1	<b>coconut</b> 136:9
102:14 103:1 104:1 105:1,2	<b>code</b> 21:24
106:1 107:1 108:1 109:1 110:1	<b>coincide</b> 89:6
111:1 112:1 113:1 114:1 115:1	<b>coincides</b> 90:3
116:1 117:1 118:1 119:1 120:1	<b>Coldman</b> 2:15
121:1 122:1 123:1 124:1 125:1	<b>collaborate</b> 71:6,17 77:25 81:10
126:1 127:1,9 128:1 129:1	113:23
130:1 131:1 132:1 133:1 134:1	<b>collaborating</b> 62:14
135:1 136:1,25 137:1,10,21	<b>collaboration</b> 62:7 68:3 71:16
138:1 139:1 140:1 141:1,2,5	73:7 83:12
141:13 142:1 143:1	<b>collaborative</b> 83:5 91:16
<b>children's</b> 32:12 88:12 93:5,21	<b>collaboratively</b> 43:14 80:25
98:6 99:2 107:13 127:8	<b>collaterals</b> 71:18
<b>chime</b> 50:12	<b>colleague</b> 69:22 120:7
<b>Chiumento</b> 2:20 4:7,8 6:13,13	<b>colleagues</b> 48:22 49:8 98:9

<p>118:10 122:2  <b>collected</b> 128:9  <b>collecting</b> 11:4  <b>collection</b> 116:19  <b>come</b> 28:2 30:9 37:16 44:14 57:4  59:2 62:4 63:9,16 64:6 73:19  74:14,14 100:20 107:20 127:17  131:10 133:6 135:12,25 137:8  <b>comes</b> 18:22 20:16 25:20 51:10  58:12 89:15 129:20  <b>comfort</b> 79:22 88:3,10 89:2  99:11  <b>comfortable</b> 47:6  <b>comforting</b> 70:5  <b>coming</b> 7:18 8:13 25:23 34:3  36:5 64:3 75:17 86:10 97:18  99:21 116:7 121:15,17 122:24  131:5 134:8  <b>commenced</b> 3:2  <b>comment</b> 97:12 133:5,8,11,14  <b>comments</b> 30:18 48:7,16 133:6,12  <b>Commissioner</b> 61:9  <b>committee</b> 1:5 7:13 9:14 10:10  16:9,9 22:11 23:21 24:19,24  25:9,13,21 26:22 30:12 34:10  34:22 88:24 91:13 92:22 94:3  95:17,20,22 96:4 97:7 101:23  112:6 116:11 120:15 133:23  134:3,13 135:3,4 138:8 140:9  142:7  <b>committees</b> 114:15 131:18  <b>common</b> 82:16  <b>communicated</b> 22:6  <b>communicating</b> 12:20  <b>communication</b> 9:7 11:8 12:9,16  22:9,14 23:20 26:24 36:4  90:19  <b>communities</b> 12:22 40:15 41:19  53:6 65:17 75:14,15 83:2  <b>community</b> 14:9,9,10 32:11,25  39:4 40:2,22 41:21 42:17,19  42:22 53:19 60:7 68:9 71:14  82:12,13 83:16 88:13 93:4,18  95:4,5,14 99:9 101:3,4 102:4  107:8 119:4 121:10,14 134:5  138:19  <b>community-based</b> 64:20  <b>compared</b> 51:22 93:20 101:2  <b>competencies</b> 19:10  <b>compile</b> 115:10</p>	<p><b>complete</b> 20:18 21:4,16  <b>completed</b> 21:12,13,23 23:14  93:12 141:14  <b>completely</b> 56:2 65:19  <b>completing</b> 23:20  <b>complex</b> 12:18  <b>compliment</b> 34:8  <b>component</b> 51:4 76:5  <b>components</b> 50:12 52:10  <b>composed</b> 120:14  <b>comprehensive</b> 61:13 62:21  <b>computer</b> 9:18 31:12 140:16  <b>concept</b> 97:14  <b>conceptually</b> 89:5  <b>concern</b> 20:7 81:22 96:23 100:2  135:8 139:23  <b>concerned</b> 52:19 96:14 109:7  135:5  <b>concerns</b> 33:21 48:7,16  <b>concluded</b> 142:13  <b>conclusions</b> 135:12  <b>conditions</b> 91:23  <b>conducting</b> 18:24 119:2,10  <b>conference</b> 109:2 110:11 113:20  <b>conferences</b> 113:13  <b>conflict</b> 4:17 132:19  <b>connect</b> 33:3 64:11 69:6 83:19  <b>Connecticut</b> 90:22 95:2 99:4  <b>connecting</b> 62:17  <b>connection</b> 16:3 32:24  <b>consider</b> 13:2 90:14  <b>considerations</b> 80:19  <b>considered</b> 80:20,21 89:24 96:2  126:21 127:11 139:3,3  <b>considering</b> 53:14 57:24  <b>consistency</b> 74:25 107:17  <b>consisting</b> 143:6  <b>consolidated</b> 131:17  <b>constituencies</b> 49:4  <b>constricted</b> 42:23  <b>contact</b> 20:21 62:5 85:11 112:18  112:20  <b>content</b> 14:24 19:12  <b>contention</b> 138:18  <b>context</b> 73:5  <b>continue</b> 56:14 72:25 82:4 83:20  83:21,22 123:10 127:12,13  132:6  <b>continued</b> 131:23 136:6  <b>continuing</b> 48:3 119:22</p>
--	---

**continuously** 45:5 101:10 127:14  
**continuum** 31:23,23 77:7  
**contract** 68:25 122:22  
**contracts** 46:21  
**contributed** 108:23  
**contributing** 120:2  
**control** 59:10 87:10 99:11  
 101:21 118:23  
**controls** 87:14  
**controversial** 135:14  
**controversy** 135:24  
**conversation** 62:7 72:4 73:3  
 79:17 83:8 84:2 108:24 111:21  
**conversations** 72:21 81:8 82:5  
 87:20 136:23  
**conveys** 15:2  
**Conway** 2:8 4:9,10 97:11,12,13  
 97:17 109:11,13  
**cool** 108:14  
**Cooper** 1:9 2:4 3:5,15,20,25 4:2  
 5:15,17,22,24 6:8,11,15 7:20  
 7:23,24 8:8 9:13,17,22 10:9  
 11:19 14:4 16:8,14 22:17  
 25:17 26:7,17 27:18 28:5,10  
 29:4,9,13,24 30:13,17,21 31:2  
 31:6 35:6,7,13 37:3,10 38:4  
 48:18 49:21 50:4,6,14,19 52:4  
 58:16,19 59:3,21 73:12,12,15  
 76:16 78:2,14 84:21 85:18  
 86:7,18 87:3 95:23,24 97:11  
 98:19 103:15 107:25 108:4,6  
 108:19 109:11,16,19 114:5,9  
 114:20,24 115:5 116:23,25  
 117:12,20,22,24 118:9 121:8  
 121:14,22,25 122:7,13,17  
 123:20,24 127:23 128:2 130:22  
 136:8,15 138:10 140:17,20,23  
 141:3,9,11,25 142:4  
**Cooper's** 26:14  
**coordinate** 59:20  
**coordinated** 62:15  
**coordinating** 32:11  
**coordinator** 8:12 45:12  
**coordinators** 17:11 20:22 94:8  
**Coppola** 59:6,13,18 60:17 61:2,3  
 65:3 72:16 73:14 81:7 83:4  
 84:16,25 85:5,20 86:16  
**core** 54:18  
**correct** 3:13 12:20 30:16 117:3  
 117:4  
**correcting** 140:24  
**corrections** 6:6,17  
**correctly** 57:2 118:11  
**council** 27:7,7 39:5 74:20 131:2  
**counselors** 64:11  
**counterfeit** 120:13  
**counties** 44:2 45:20 85:10  
**country** 20:8 129:23  
**counts** 139:6  
**county** 43:22,23,23,25 44:4,5  
 124:5  
**couple** 7:16 23:7 39:10 43:3  
 79:6 92:21 101:8 124:20 126:4  
**course** 21:24 64:15 73:14 78:18  
 82:5 91:9 116:13 124:12,24  
 125:23 126:7,20 128:13 139:22  
**courses** 132:13  
**court** 3:22  
**covered** 19:24  
**COVID** 47:2,22 125:17  
**CPEPs** 60:24  
**crashes** 120:2  
**create** 43:11 45:3,4  
**created** 32:4 42:9 43:13  
**credential** 45:7 135:21  
**credentialed** 45:8,8,11  
**credentialing** 44:23 45:6 135:19  
**credentials** 45:11,13  
**credit** 11:18 13:4,4,9  
**crickets** 137:11  
**criminal** 84:12  
**crises** 69:14 82:14  
**crisis** 52:11 53:5 59:9,23 60:7  
 60:15,22,23,24 61:3,5,13,14  
 61:17 62:3,15,20,20,21,24  
 63:7,9,10,10,18 64:3,3,9,10  
 64:14,14,18,23 65:4,15,22  
 66:4,10,14 67:23 68:7,14  
 69:24,25 70:4,6,10,11 71:2,5  
 71:11,13,16 72:8 73:20 75:3  
 75:12,17 77:15 78:16,16 83:7  
 83:13,18,22 85:9,24  
**criteria** 66:12  
**critical** 22:15 45:7 46:25 57:18  
 64:16 91:7 98:8 99:17 132:2  
 132:12  
**crossed** 22:8  
**crossing** 118:24  
**crossover** 123:9  
**crosswalks** 118:23

<b>curious</b> 52:25 56:23	<b>deescalate</b> 8:20 75:25
<b>current</b> 63:12	<b>define</b> 119:6
<b>currently</b> 41:25 93:15 97:24 123:11	<b>defined</b> 33:7
<b>curriculum</b> 78:5 121:2 132:7	<b>defining</b> 41:23 45:25
<b>customize</b> 17:2	<b>definitely</b> 15:25 57:13 80:23 81:16 83:25 127:16 134:18
<b>cut</b> 53:8	<b>definition</b> 42:7 138:16
<b>cutoffs</b> 80:19	<b>definitions</b> 138:20
<b>cycle</b> 16:19 34:16 120:25	<b>degree</b> 101:15
<b>cycling</b> 119:17	<b>delay</b> 110:21
<b>cyclists</b> 119:9	<b>deletions</b> 6:5,16
	<b>deliverable</b> 122:21
<b>D</b>	<b>deliverables</b> 123:4,8
<b>D.I.E</b> 138:17 139:7	<b>delivery</b> 69:21
<b>D.O.A</b> 138:17 139:3	<b>demands</b> 40:10 48:3
<b>daily</b> 106:19	<b>demographic</b> 18:12
<b>danger</b> 80:22	<b>demonstration</b> 43:6,8,12
<b>Daniel</b> 2:7	<b>dentistry</b> 91:10
<b>Daniel's</b> 134:20	<b>department</b> 1:3 25:12 44:16,20 89:25 91:19 93:14 94:7 100:19 111:13 115:12 139:17,19
<b>DASH</b> 82:17	<b>departments</b> 7:3 16:19 58:2 89:3 92:25 93:3 96:16 101:5 131:14
<b>dashboard</b> 115:11	<b>depend</b> 103:11
<b>data</b> 20:14 21:11 97:22 113:4 115:9,14,17 116:4,6,19 117:17	<b>depending</b> 76:10,12 81:3
<b>database</b> 22:21 24:11 128:7	<b>depends</b> 39:14 102:17
<b>date</b> 1:7 15:20 22:23,24 116:8,8 117:13,15 121:18 132:15	<b>deploy</b> 46:22
<b>day</b> 46:17,18 47:18 104:25 105:5 143:10	<b>deploying</b> 21:21
<b>day-to-day</b> 46:11	<b>Deputy</b> 60:21
<b>Dayan</b> 2:19 117:25	<b>der</b> 2:9 4:3,4,22 5:3 14:7 22:4 24:14 28:20 29:5,10,15 30:2 30:16 50:20 51:11 52:7 56:19 57:6 78:11 79:12 81:5 86:20 86:24 87:4,11,15 94:16,19,20 94:22 96:20 97:16 99:18 100:24 102:24 103:6,16,19 107:5 108:5,7 109:6,13,17 141:24
<b>days</b> 47:17 66:7 105:10	<b>DERIC</b> 120:24
<b>de</b> 67:19	<b>derogative</b> 25:11
<b>de-</b> 76:13	<b>described</b> 42:2
<b>de-credential</b> 135:22	<b>describes</b> 61:25
<b>de-escalation</b> 76:24 113:3,7	<b>describing</b> 62:2 67:22
<b>deadline</b> 123:3	<b>description</b> 98:22
<b>deaf</b> 14:8,9,10	<b>design</b> 84:14,14
<b>deal</b> 74:4 90:23 99:20 135:19	<b>designated</b> 70:7
<b>dealing</b> 24:25 51:14 74:9 110:3	<b>designed</b> 14:15 19:2 71:11 84:7
<b>deals</b> 25:13	<b>desired</b> 33:20
<b>dear</b> 100:18	<b>destinations</b> 134:4,12
<b>debridement</b> 92:15	<b>detail</b> 63:21 64:24
<b>December</b> 6:3 7:7 85:23 110:10 130:25 131:7,24 132:18	
<b>decided</b> 109:25 110:12 124:7	
<b>decision</b> 33:2,24	
<b>decisions</b> 80:2	
<b>decreasing</b> 88:10,10 95:15	
<b>dedicated</b> 83:11	
<b>deeply</b> 66:19	

<p> <b>detailed</b> 85:7  <b>details</b> 61:16  <b>determination</b> 84:10  <b>determinations</b> 79:23  <b>determine</b> 43:24  <b>determined</b> 75:5  <b>detox</b> 68:23  <b>develop</b> 79:10 80:24 91:14 94:3  96:13 108:17 110:2 111:5  113:11 131:12  <b>developed</b> 8:23 14:19 62:5,23  64:20 69:10 72:19 78:23 80:7  110:14  <b>developing</b> 33:11 34:11 59:24  61:19 73:25 74:10 80:2 96:2  96:10 110:13 118:21  <b>development</b> 32:12 61:6 63:20  64:5 65:11 69:20 72:9,23  79:18 81:13,18 82:4 85:21  <b>developmental</b> 70:24 110:21  <b>device</b> 17:13,18  <b>devices</b> 17:19 118:23,24  <b>di</b> 67:21  <b>diagnostic</b> 68:20  <b>dialogue</b> 92:24  <b>difference</b> 18:24 68:18 100:25  <b>differences</b> 67:2,21  <b>different</b> 17:19 19:9,18,19 20:6  21:19 27:14 34:13 36:24 37:16  37:20,24 40:4,9 41:15 42:14  43:7,15,17 44:3,6 45:2 47:12  55:16,22 57:20 58:8 64:25  65:4,5 70:10 75:24 76:11  79:15 81:3 82:6 91:10 102:7  104:13 111:3 124:10 125:3  136:18 140:7,18  <b>difficult</b> 98:6  <b>difficulty</b> 19:6 52:21  <b>direct</b> 54:14 69:2,19 134:6  <b>direction</b> 49:10 77:4 112:8,15  <b>directions</b> 135:5  <b>directly</b> 69:7 70:15 78:16 95:18  <b>director</b> 23:22 60:6,15,21  <b>directors</b> 23:24  <b>disagreement</b> 71:24  <b>disaster</b> 40:2 46:5,22  <b>disasters</b> 128:5  <b>discharge</b> 68:4  <b>disciplines</b> 91:6  <b>discomfort</b> 95:16 100:3 </p>	<p> <b>discourage</b> 106:22  <b>discuss</b> 36:17 140:6  <b>discussed</b> 88:15 111:23 131:24  <b>discussion</b> 6:16 7:5 20:11 73:17  85:3 132:5,19 135:20 137:19  139:17,20  <b>discussions</b> 73:9 77:23  <b>disorders</b> 98:4  <b>disparities</b> 30:6 51:21 93:16  <b>dispatch</b> 131:13  <b>distinction</b> 68:16  <b>distractor</b> 106:15  <b>distributed</b> 15:17  <b>district</b> 29:14 44:2  <b>diversification</b> 57:14  <b>diversion</b> 67:17 82:6 84:11  <b>diversity</b> 31:25  <b>divert</b> 63:22  <b>divided</b> 19:9  <b>division</b> 43:8 61:8  <b>doable</b> 37:5  <b>doc</b> 102:11 104:23  <b>docs</b> 99:17 105:9,10  <b>doctors</b> 54:5 104:24  <b>document</b> 10:3 14:21 15:4 18:3  97:21  <b>documents</b> 38:25 44:9 48:10 90:8  <b>doing</b> 19:4,11,14 20:6 24:23  30:11,12 33:14 34:8 39:4 43:3  52:17 82:11 89:9 91:24 97:15  99:17 103:25 104:9 107:14,15  114:12 115:14 122:19 132:21  133:25  <b>don' t</b> 97:17  <b>dose</b> 78:24 102:12  <b>double</b> 4:20 16:7  <b>Doug</b> 4:14,17  <b>downplay</b> 103:23  <b>Downstate</b> 29:20,21 125:18  <b>Dr</b> 3:25 4:3,7,9,11,12,16 5:5,5  5:15 7:23 14:6 26:14 29:3  35:13 50:20 59:6,6,21 60:2,3  62:9 78:12,14,19 94:19 95:23  100:15,24 102:24 103:7,13  107:6,25 114:15,24,25 117:19  118:4 122:5,16 123:15 133:24  135:15 136:11,15  <b>draft</b> 120:14  <b>drainage</b> 92:17  <b>draw</b> 57:12 97:5 </p>
---	---

**dressings** 92:15  
**Drew** 2:8 123:6,21 127:24 130:22  
 130:23  
**drill** 127:6  
**drive** 41:3 105:6 119:23  
**Driver** 120:18,23  
**drivers** 119:24 120:7,18  
**driving** 40:23  
**drop** 84:7,15 132:9  
**dropping** 132:14  
**dry** 14:13,17  
**due** 13:4  
**durable** 11:23,24 12:3  
**dust** 11:5  
**Dyan** 118:4  
**dynamics** 53:13

---

**E**

---

**E.D** 19:2 66:23 75:20 79:2 81:24  
 83:20 92:11 99:6 103:10  
 104:12,13,23 105:13 126:13  
 138:25 139:4  
**E.D.C** 21:11 22:21 23:4  
**E.D.C.C** 8:24  
**E.D.P.s** 105:15  
**E.D.s** 63:24,24 75:4 101:11  
 103:22 104:2,7,16,17 107:13  
**E.I.I.C** 25:23 33:4  
**E.M** 105:9,19  
**E.M.S** 1:1,4 2:1 3:1 4:1 5:1 6:1  
 6:21 7:1,12 8:1,15,16,22 9:1  
 10:1,21 11:1 12:1,22 13:1  
 14:1 15:1 16:1,21 17:1 18:1,4  
 18:16 19:1,13,21 20:1 21:1,20  
 22:1,2,20,20 23:1,18,23,24,25  
 24:1 25:1,8 26:1 27:1 28:1  
 29:1 30:1 31:1 32:1,4,6,15  
 33:1 34:1 35:1 36:1 37:1,9,13  
 38:1,9,10,12 39:1,4,11,17,21  
 39:24 40:1,5,6 41:1,18,18,21  
 41:23,25,25 42:1 43:1,16,20  
 43:21 44:1,13 45:1,8,23 46:1  
 46:2 47:1,17 48:1,9 49:1,10  
 50:1,21,23 51:1,24 52:1,11,13  
 53:1 54:1,10,13 55:1,24 56:1  
 56:2,11 57:1,4,5,7,12 58:1,8  
 59:1 60:1 61:1,21 62:1 63:1  
 64:1 65:1 66:1 67:1 68:1 69:1  
 70:1 71:1 72:1,5 73:1,2,10

74:1 75:1 76:1 77:1 78:1 79:1  
 79:20 80:1,7 81:1,18,23 82:1  
 83:1,24 84:1 85:1 86:1 87:1  
 88:1 89:1 90:1 91:1 92:1 93:1  
 93:13 94:1 95:1 96:1 97:1  
 98:1 99:1 100:1 101:1 102:1  
 103:1 104:1 105:1 106:1 107:1  
 108:1 109:1 110:1,3 111:1,22  
 112:1 113:1,9,12 114:1 115:1  
 116:1 117:1 118:1 119:1 120:1  
 121:1 122:1 123:1 124:1 125:1  
 125:2 126:1 127:1,8,21 128:1  
 129:1 130:1 131:1,13 132:1  
 133:1 134:1,5,8 135:1 136:1  
 137:1,20 138:1,4,7 139:1  
 140:1,25 141:1,5 142:1 143:1  
**E.M.S.C** 11:12 14:14,21 15:22  
 16:24 18:23,23 20:7,14,14  
 21:11 23:3 24:21 25:9 31:17  
 31:20,22 32:10,22,25 34:7,10  
 34:22 35:8,24 37:21 89:3,5,13  
 89:16 90:7,13,15 94:3 108:12  
 110:11  
**E.M.S.C.I.C** 90:16  
**E.M.T** 54:2 55:6 132:2  
**E.M.T.s** 57:15 74:14 76:25 78:6  
 79:21  
**E.R** 13:3 40:24 56:5,9,10 82:22  
**E.R.s** 7:19 12:23 106:20  
**ear** 41:9,10,11  
**earlier** 71:21 113:19 118:4,6  
 131:7 136:24  
**early** 51:5 65:11 82:3 86:3  
 117:15 118:5  
**easier** 96:11  
**easy** 20:23 36:15  
**Ed** 97:11,12 98:19  
**Ed's** 98:22  
**editing** 112:10  
**educate** 82:12  
**education** 8:16 19:10 86:22 91:6  
 93:23 97:2 99:15 118:20  
 120:16,23 133:5,16,19  
**educational** 32:13 110:2  
**educator** 120:20  
**educators** 32:19  
**Edward** 2:8  
**effective** 12:19 106:13  
**effects** 99:7  
**effort** 24:19 65:10 108:11

**efforts** 34:7 78:5 95:13  
**eight** 62:18,18 63:8,8 64:5,6,7  
 64:7 104:12  
**eighth** 141:8  
**eighty** 55:12 64:3 129:4,5  
**Eisenhauer** 2:4 3:3,14,18,22 4:3  
 4:6,9,11,14,16,25 5:4,8,10,12  
 5:14,19 6:20 7:22 8:3,5,9  
 9:15,20,23 10:4,8,12,16 12:24  
 13:15,23,25 14:6 15:9,13,18  
 16:6,12,16 22:19 26:5 28:19  
 30:20,24 31:4,11,14 36:10  
 37:2,8 50:2,20 58:15,23 59:5  
 59:15 78:9 84:23 85:2,17 87:9  
 87:13 94:14,18,21 109:15  
 114:18 117:5 118:3 122:5,12  
 127:25 128:3 129:12 130:3,20  
 136:24 140:14,18,21,25 141:4  
 141:10 142:8,11  
**either** 48:17 98:7 101:5 125:13  
 140:3  
**elected** 29:12  
**elective** 91:22,23  
**electrical** 125:13  
**elegant** 108:22  
**eliminate** 51:21  
**Elise** 2:9 22:18 25:19 26:9,13  
 29:14 50:11 57:2 86:20,23  
 95:25 108:21  
**Elise's** 25:25  
**email** 7:16 20:21 23:4,6,13  
 94:25 112:19  
**emailed** 118:4  
**emails** 23:15,20  
**embraced** 81:2  
**emergencies** 130:5 135:13  
**emergency** 7:2 8:11 16:18 20:22  
 31:24 34:23 42:3,5 45:12  
 60:22 61:4 62:22 63:11,14  
 67:10,12 74:3 76:15,22 77:16  
 81:16 87:22 88:16 89:2,25  
 91:8,19,22 92:25 93:3,14,22  
 94:6,8 95:19 96:15,16 97:6,10  
 100:19 101:4,6,12 102:10  
 105:17 107:21 122:14 131:14  
 135:8 141:13  
**emergent** 40:17 91:23 92:2  
**emphasis** 66:18  
**encourage** 28:18 49:3  
**encouraged** 82:25  
**encouraging** 25:2  
**ended** 11:25 12:2  
**endless** 55:13  
**endorse** 28:17 102:3  
**endorsement** 97:6  
**endorsing** 24:19  
**ends** 104:21  
**enforcement** 83:24 134:24 135:7  
**engage** 47:8 54:6 75:25  
**engaged** 53:23,24  
**engagement** 11:3 26:15  
**engaging** 84:9  
**enjoy** 123:8  
**enormously** 52:23  
**ensure** 31:18  
**ensures** 33:20  
**entertain** 141:21  
**entire** 48:9 51:22 66:6  
**entity** 57:25  
**entrances** 70:12  
**entry** 54:3  
**environment** 56:15 66:24 113:9  
**equal** 31:22  
**equipment** 19:15,18 46:13 47:14  
 47:20 53:17,18 89:23  
**equitable** 135:12  
**equity** 31:25  
**erase** 14:13,17  
**Eric** 2:10  
**escalation** 76:14  
**especially** 24:5 51:19 69:7  
 73:17 74:25 76:19 92:8 93:2  
 93:15 95:18 137:15 142:7,7,8  
**essential** 39:11,21 43:21 52:13  
 53:3,4,12 57:24  
**essentially** 12:4 17:14 42:9  
 116:6  
**establish** 40:4  
**estimated** 20:17  
**ethics** 132:23,24  
**evaluation** 68:21  
**evaluative** 34:17  
**event** 23:18 42:6 59:22  
**events** 47:10,11 125:4,8 128:15  
 128:20,22 130:11  
**ever-** 32:17  
**everybody** 13:21 22:12 31:7  
 37:12 51:16 60:13,20 72:6  
 103:9 112:7 139:10 142:7,10  
**everybody's** 3:13



**everything's** 46:18  
**evolving** 32:18  
**exact** 116:7  
**exactly** 20:11 26:7 85:8 129:12  
**example** 10:2 65:23 70:20 75:19  
 98:14 102:9  
**Excellent** 59:5 123:25  
**exception** 111:9  
**excited** 7:4 37:17 38:22 116:21  
 118:25 119:12,18 131:8  
**exciting** 6:24 8:13 38:11,24  
 43:18  
**exhibiting** 77:13  
**exist** 137:20  
**existing** 96:5  
**exists** 132:3  
**expand** 36:6 42:24  
**expands** 42:3  
**expect** 65:16 85:25  
**expected** 70:2,7 71:17  
**expecting** 120:12  
**experience** 69:11 99:3 101:7  
**experiences** 33:22  
**experiencing** 63:6,18 66:9  
**expertise** 70:23 76:6 93:19  
 102:20  
**experts** 69:15 140:7  
**explains** 18:19  
**explanation** 20:10  
**extend** 115:25 123:14 133:17  
**extensively** 123:13  
**extent** 10:19 74:5 77:8 96:22,25  
**extra** 36:13  
**extraordinary** 48:21  
**Extreme** 126:11  
**extremely** 92:6  
**eyes** 137:12

---

**F**


---

**F.D.N.Y** 111:23  
**fa** 60:4  
**Facebook** 37:19  
**facets** 129:2  
**facilitate** 32:24 133:18 134:15  
 136:3  
**facilities** 26:24 43:10 64:15  
 122:21 126:9  
**facility** 26:25 47:24 128:9  
**fact** 90:21 139:8,15,18  
**factor** 120:2

**fairly** 45:17 100:13 125:17  
 135:15  
**Fairport** 121:4  
**fall** 83:22  
**falls** 63:5  
**familiar** 64:7 97:23 106:11  
**familiarity** 101:15 102:19  
**familiarize** 30:11  
**families** 33:18 70:9 71:17  
**family** 19:20 31:10,19 32:4,5  
 33:5,13 34:4,5,6,9 65:25 66:2  
 68:4 69:12 95:21 101:14 105:9  
**FAN** 31:9 32:14,23 33:17,22  
 34:11,21,24 35:4,10,15  
**FANs** 33:14  
**far** 37:14 109:7 126:13  
**fast** 60:4 103:11  
**father** 48:19  
**favor** 142:2  
**February** 1:7 121:21 143:10  
**federal** 24:20  
**federally** 33:6  
**feedback** 131:5 135:11  
**feel** 8:5 11:9 47:6 85:15 107:2  
 126:2 128:8  
**feeling** 128:4  
**feels** 104:6  
**felt** 63:23 79:20 125:14 139:14  
**female** 110:24  
**Feuer** 2:18 4:11,15,17 98:21,22  
**fidget** 36:3,24  
**field** 38:21 45:8 74:23  
**fields** 57:20  
**fifteen** 23:15 56:13  
**fifty** 33:5 54:11  
**fifty-five** 42:25  
**fight** 110:19  
**figure** 31:12 54:6 57:12 97:15  
 119:20  
**figured** 89:12  
**figures** 54:8  
**figuring** 86:12  
**fill** 20:23 22:12  
**filling** 18:14  
**final** 38:17  
**finally** 108:16  
**financial** 47:8 53:16  
**find** 11:6 21:24 68:7 110:6  
**finding** 19:6 47:23 124:21  
**fine** 8:8 59:3

**finish** 113:5  
**finished** 140:5  
**fire** 32:15 58:2 111:13 126:5  
**fires** 126:17  
**first** 3:10 12:15 18:10 20:9  
 23:6 48:15,20 53:3 78:12  
 85:22 89:11 96:23 107:7  
 119:14 121:2 125:17 130:15  
 138:15  
**fits** 63:2  
**five** 17:22 21:6 45:25 46:5,6  
 55:25 100:21 128:13,13 131:18  
 141:15  
**five-pound** 36:2  
**fix** 134:21  
**flashy** 106:17  
**flavor** 14:23  
**flesh** 17:5  
**flexibility** 70:14  
**Flood** 130:15  
**flooding** 130:9,12  
**Florida** 9:7 11:12,16,18 13:5  
**flush** 127:12  
**focus** 47:7 66:18 103:2 119:2,10  
 119:14 120:3 127:19  
**focused** 76:23 136:18  
**focusing** 119:25 120:6 138:16  
**folks** 24:2 74:6 95:2 97:9 107:8  
 111:22 112:22 119:14 124:25  
 125:2 126:25 127:21 132:8,24  
**follow** 68:6 77:22  
**follow-up** 64:16 130:4  
**followed** 3:11  
**following** 84:22 129:7  
**force** 39:24 40:5 45:23 46:2,12  
 46:19,19 50:21,23,25 52:20  
 134:20  
**forefront** 33:8 88:20  
**foregoing** 143:3,6  
**forget** 63:24  
**forgot** 36:10  
**form** 17:4 19:4  
**format** 87:8 115:10 128:11  
**forming** 7:10  
**formulated** 79:4  
**forthcoming** 51:7  
**forty** 129:3,4,23  
**forty-five** 20:18  
**forty-two** 95:10  
**forward** 13:4 48:22 62:8 86:12

110:13 111:17 113:16 114:2  
 117:2 127:15 130:19 137:16  
 138:5 140:11 141:19  
**found** 13:6 95:6 125:4  
**four** 16:19 17:22 46:8 64:21  
 94:6 117:8 130:9 131:18,18  
**four-year** 34:15  
**fracture** 92:14 100:6  
**framework** 43:11 50:25  
**frameworks** 63:5  
**free** 54:15 85:15  
**Fried** 2:8 123:6,21,22,25 128:10  
 129:13 130:12  
**friends** 68:4  
**front** 55:10 82:12 108:15  
**fruitful** 80:15  
**fruition** 37:16 58:12 141:21  
**full** 101:3  
**fully** 41:16 101:17  
**funding** 37:21 45:21 72:18  
**further** 36:18 84:12 139:20  
 141:21  
**future** 43:19 115:20 124:24

---

**G**


---

**games** 106:18  
**gap** 21:5,7 40:21 119:21  
**gaps** 20:4 84:3  
**garbly** 50:3  
**Garden** 117:6 140:13 142:5  
**Gaylord** 2:12 59:7 60:18,20,21  
**gear** 129:25  
**gears** 72:25  
**general** 59:23 72:20 85:13 96:21  
 126:20  
**generalist** 104:24  
**generated** 90:5  
**generating** 57:25  
**geography** 130:18  
**George** 2:10 114:18,20 116:23  
 117:13,24  
**germane** 122:18  
**gestalt** 97:24  
**getting** 10:23 13:8,12 35:18,19  
 36:22 40:16 50:25 64:19 76:21  
 78:5 93:19 107:3 112:5 115:8  
 115:22 120:21 134:6 137:15  
**Gina** 2:11  
**give** 10:2 11:17 21:22 44:6,20  
 45:13 58:9 61:6,22 62:13 63:2

69:5 82:21 88:21 97:24 102:12 103:22 105:8 114:21 115:2,2 120:8 131:4 <b>given</b> 44:18 46:4 74:15,20 139:14 <b>gives</b> 12:6 <b>giving</b> 10:11 11:15 55:4 59:22 87:10 122:23 <b>glad</b> 5:25,25 29:10 84:5 87:4 113:25 <b>go</b> 3:21 4:20 5:4 6:8 8:18 10:16 11:22 13:19 14:12 16:13 26:3 28:3,7,9 29:24 30:5 31:14,16 35:4 41:22 46:7,14 48:20 55:8 55:8,10,16,18 56:17 58:2 59:4 59:11 61:12,16 63:10,17,20 64:24 65:22 71:25 72:3,17 76:15 79:2 80:23 83:20 89:8 90:24 94:17,21 97:21 98:12 105:3 106:8 107:12 108:10 109:4 110:13 112:4,15,16 120:20 132:12 133:10,13 136:17 <b>goal</b> 34:20 41:17 67:7 69:4,6 70:18 76:9 82:23 83:18 91:20 113:14 118:18 <b>goals</b> 34:12 <b>God</b> 104:8 <b>goes</b> 21:10 37:14 42:17 43:5 <b>going</b> 3:8 8:6 12:18,21 19:15 23:15 26:24 31:8,15 35:21 37:4,12,13 38:2,3,6,9,25 39:3 39:8 41:3 47:6 48:8,12,25 53:7 54:19 57:25 59:8,25 67:4 70:21 73:23 74:6,8 75:2,6 76:6,23,25 77:12 81:9,19 82:3 85:19 86:8 97:21 101:5 103:20 107:9 110:2,15,17 111:15 112:8 113:3 115:11,14,20,24 116:18 117:8 118:25 119:10 120:3,5,5,7,8 121:20 124:4,22 125:7,7,12,20 127:12 128:19 129:25 130:16 <b>Goldman</b> 59:6 60:3,5,6 62:9,10 74:18 77:19 78:7 79:5,13 82:8 84:5 113:24 <b>good</b> 5:6 8:21 10:6 11:6,14 26:2 28:7,14 30:14,22 31:8 35:21 36:18 37:3 38:5 42:12 50:18 58:18,19 65:23 72:21 81:9	82:8 99:11 100:13 104:23 112:21 113:21 117:18 123:22 133:15 <b>gotten</b> 3:12 57:11 <b>governor</b> 134:7 <b>governor's</b> 120:15 131:12 134:17 <b>Grace</b> 2:11 60:9 <b>grand</b> 47:12 55:9,12 <b>grandparents</b> 32:15 <b>grant</b> 6:21 8:15 13:18,22 16:19 34:16 120:25 <b>grantees</b> 34:18 <b>grants</b> 13:19 <b>grassroots</b> 24:3,12 <b>great</b> 5:17 8:25 11:12 12:22 14:4 15:15,19 16:14 22:5,17 22:23 29:9 35:12 36:20,20,21 37:5 42:11,12 49:10,15 52:5 56:22 58:7,12 74:18,24 77:20 77:24 79:5,23 81:6,6 86:16 87:17 94:23 99:5 102:3 103:7 109:19 112:23 113:24 114:4,5 121:23 135:19 141:9,17 <b>greater</b> 63:21 64:24 128:16 139:23 <b>Greenberg</b> 2:19 9:25 10:5,14,17 11:20 12:25 13:21,24 14:2,5 26:10,20 27:24 28:6 37:11 38:5 49:18 50:5,8,18,24 52:6 53:2 56:25 57:9 58:14,18 <b>grim</b> 104:3 <b>ground</b> 35:25 46:20,20 <b>group</b> 8:25 13:8,16 15:20 16:2 17:5,13,15 25:24 39:7 45:10 46:24 47:21 48:9 61:24 66:16 70:21 72:20 83:9,13 84:17 103:2 113:20 116:14 119:14 120:21 121:10,15 122:11,18 127:16 131:15 134:20 135:6,11 135:14,18,23 136:20 137:8,9 138:13 <b>groups</b> 7:11 8:11 26:25 27:2,21 27:21 37:24 119:2,11 123:10 136:12 <b>grow</b> 45:5 48:3 <b>growing</b> 56:18 <b>growth</b> 45:14 <b>guardian</b> 71:25 72:2,2 <b>guardians</b> 71:18 <b>guess</b> 3:15 7:6 30:21,22 50:10
--	--

61:22 65:23 66:15 141:22 <b>guest</b> 60:18 <b>guests</b> 58:24 86:9 <b>guidance</b> 18:15 65:14 79:21 80:17 98:3 <b>guideline</b> 96:3,11,13 <b>guidelines</b> 91:16 96:5,17 102:2 <b>guys</b> 33:3 35:2,3 62:12 106:23	77:7 84:11 85:21 92:9 110:18 115:13 118:15 120:20 122:14 134:22 135:7 <b>healthcare</b> 20:3 32:16 44:16,24 54:3 57:7,11 126:9 <b>hear</b> 45:23 49:25 50:2 55:15 56:6,7 59:14,16 78:2,4,4 95:20 97:8 100:15 114:2 123:23 <b>heard</b> 26:11 39:20 75:10 82:9 104:3 131:7 136:24 <b>hearing</b> 6:17,18 33:14 117:25 136:11 138:13 141:14 143:8 <b>heart</b> 62:17 <b>heat</b> 126:11 <b>Helio</b> 85:21 <b>Hello</b> 31:7 <b>help</b> 3:8 7:4,18,19 12:9 16:9 18:16 21:15,20 22:22 23:21 24:13 32:12 35:10 38:14 41:18 47:18 49:16 63:22 80:23 82:6 83:25 84:11 102:3,20,23 109:8 120:18 132:23 133:2 134:10,15 136:3 137:3 <b>helped</b> 47:22,23 <b>helpful</b> 10:25 22:13 24:4,15 25:7,8 29:8 51:13 52:3 77:10 84:3 95:23 100:10 103:5 <b>helping</b> 13:2 22:24 46:18 59:20 63:17 123:15 134:21 137:16 <b>helps</b> 11:7 33:19 53:4 <b>Hennessy</b> 2:11 59:6 60:3,8,9 <b>herding</b> 114:11 <b>hereof</b> 143:5 <b>hereto</b> 143:5 <b>hereunto</b> 143:9 <b>Hexel</b> 4:14,17 <b>hey</b> 27:10 96:14 97:12 129:25 <b>hi</b> 37:11,11 60:5,8,13,20 86:24 98:21 103:17 <b>high</b> 40:17 88:8 119:15,15 136:21 <b>high-</b> 91:24 <b>high-level</b> 93:21 <b>high-quality</b> 89:25 91:4 <b>higher</b> 51:9 67:17 69:8 75:5 81:21 <b>higher-level</b> 73:22 <b>highlight</b> 35:16 83:2 <b>highlighted</b> 79:18
<b>H</b>	
<b>H</b> 129:14 <b>H.B.C.I</b> 71:13 <b>H.V.A</b> 128:25 129:15 <b>ha</b> 80:6 <b>Haag</b> 2:14 5:8,9,9 <b>half</b> 40:23 47:18 <b>halfway</b> 21:13 <b>hand</b> 69:21 90:25 100:15 103:14 103:14,24 <b>handful</b> 132:14 <b>hands</b> 21:18 76:7 112:10 <b>hands-on</b> 41:6 <b>Handtevy</b> 137:24 <b>hang</b> 4:18 94:16,18 140:22 <b>happen</b> 13:7,12 24:18 29:2 38:15 39:22 42:23 45:22 48:13 49:15 51:8 70:19 80:15 82:3,16 123:11 124:9,10 125:7,8,20 129:18,24 130:2 134:18 136:6 <b>happened</b> 42:21,21 94:24 128:22 129:8 131:6 136:11 <b>happening</b> 46:5 118:18 129:2,9 130:17,17 131:5 136:5 <b>happens</b> 23:4 129:22 <b>happy</b> 7:20 19:7 29:5 30:3 48:7 48:16 73:8 83:8 84:4 103:3 116:15 117:20 136:6 <b>hard</b> 10:11,18 17:23 47:3 59:19 <b>hardest</b> 49:12 <b>Harris</b> 5:5 <b>haven' t</b> 23:14 <b>hazard</b> 124:6 <b>hazards</b> 124:16,17,20 127:17 <b>hazmat</b> 125:21,22 126:2 <b>head</b> 131:19 <b>headphones</b> 36:3,21 <b>health</b> 1:3 25:12 27:6,21 30:7,7 44:16,21 56:14 58:3 59:8 60:14,23 63:7,18 64:9,22,22 65:9 66:10 69:13 70:25 71:9	

**Hilton** 117:6 140:13 142:5  
**hire** 37:21  
**hired** 46:11  
**hiring** 37:15 48:2  
**history** 129:6,17,20  
**home** 43:16  
**Home-based** 71:13  
**homes** 43:16  
**honest** 102:10  
**hooked** 4:24  
**hope** 15:3,23 58:12,24 63:19  
 78:3 86:19 113:10 117:13,20  
**hopeful** 13:24 119:14  
**hopefully** 9:11 17:5 43:14 45:13  
 49:15 53:20 63:22 67:17 83:24  
 114:2 121:6 129:9  
**hopes** 64:8 121:4  
**hoping** 87:18 117:15  
**hospital** 3:7 18:8,20 21:6,9  
 22:6 33:20,20 43:7 49:23  
 54:15 75:20 77:16 80:23 93:21  
 105:6 110:7 124:25  
**hospitals** 27:8 35:23 43:7 88:13  
 88:14 93:4,5,18 94:5 95:4,5  
 95:15 98:6 99:2 107:8,13  
 125:6 127:7,18,19 128:24  
 137:4,16  
**hot** 38:6 131:24  
**hour** 40:23,23 48:13  
**hours** 67:9 99:25 140:6  
**Hudson** 124:4 126:24  
**huge** 24:13  
**hundred** 18:9,10 34:23,25 42:25  
 66:7 97:23 129:5  
**hundred-and-fifty-mile** 105:14  
**hurricane** 125:8 130:5  
**hydration** 99:24  
**hype** 42:11

---

**I**

---

**I-84** 126:24  
**I.C.I.G** 121:19  
**I.C.U** 104:20  
**I.M** 78:24  
**I.V** 90:11,18 92:7,12 95:5 99:24  
 101:21 103:12  
**I'll** 29:21  
**idea** 22:17 36:20,21 37:4 74:12  
 74:19 77:24 88:25 89:3,7  
 111:8

**ideas** 110:16  
**identify** 46:4  
**illness** 134:25  
**imagine** 73:19 76:24  
**imaging** 89:22 92:3,13  
**immediately** 112:5  
**imminent** 80:22  
**impact** 124:8,9,17 128:16,17  
**impetus** 134:23  
**implementation** 65:12 121:10,15  
**implementing** 33:11 34:12  
**imply** 107:8  
**importance** 69:9 83:15  
**important** 18:21 22:8 46:24  
 52:23 65:18 66:4,16 67:6 68:6  
 68:11 72:13 75:13 81:13 90:9  
 95:12 101:16 122:9 138:4  
**importantly** 32:2  
**impressive** 48:23  
**improve** 19:4 31:23 38:14 92:25  
 95:14 99:10 102:23  
**improvement** 8:23 14:22 18:23  
 19:25,25 95:9 116:20  
**improving** 33:8 93:9  
**inability** 132:6  
**inbox** 85:13 94:25  
**incentive** 52:22  
**incidentally** 107:18  
**incidents** 54:22,23 128:6 130:9  
**include** 32:5 36:18 91:18 92:6  
 130:13  
**included** 131:9 134:17  
**includes** 36:2 70:22 71:22 90:13  
 115:13 116:10  
**including** 19:22 88:9,10 89:21  
 92:16 95:21 106:2  
**inconsistency** 96:15  
**incorporate** 69:17 83:8 113:3,7  
**incorporated** 15:16 133:16  
**increase** 95:9 99:5  
**increasing** 34:21  
**incredible** 10:11,20  
**indicated** 3:11  
**indicative** 42:13  
**indirectly** 25:7  
**indispensable** 31:22  
**individual** 32:18 64:2 68:9  
 102:8  
**individually** 73:8  
**individuals** 63:17 64:10,22 66:8

66:13 69:12 75:2,16 76:7,9,14 80:8 83:2 84:8 <b>infant</b> 90:18 <b>infant's</b> 90:17 <b>infection</b> 41:10 <b>infographic</b> 8:19 <b>information</b> 8:20 14:24 18:12,13 21:17,17,22 22:25 24:10 62:8 85:7,11 98:16 102:22 112:20 113:7,17 128:9 141:18 <b>informed</b> 66:21 <b>infrastructure</b> 49:11 <b>ingrained</b> 66:19 <b>inhibit</b> 133:21 <b>initial</b> 41:5 42:2,4 <b>initially</b> 87:23 <b>initiative</b> 40:11 47:25 114:17 115:4 116:20 117:17 137:3,17 <b>initiatives</b> 40:4 56:3,22 60:23 61:4 <b>injured</b> 121:10 126:25 <b>injury</b> 118:10,15 121:14 122:2 <b>Inn</b> 117:6 140:13 142:6 <b>innovation</b> 8:22 14:22 18:23 24:21 43:20 89:16 120:24 <b>Innovations</b> 133:23 134:13,19 135:4 <b>innovative</b> 91:5 <b>INOP</b> 121:7 <b>inpatient</b> 91:20 92:4 <b>input</b> 51:7,18 52:3 119:19 122:11 <b>inputting</b> 22:24 <b>insertion</b> 99:24 101:21 <b>insertions</b> 90:11 92:7,12,18 95:5 <b>inspiring</b> 35:17 <b>instances</b> 77:12 <b>instructors</b> 113:13 <b>integrated</b> 31:20 101:10 <b>integrating</b> 34:6 <b>intensive</b> 65:18 67:22 68:10,14 68:16,18,20 69:3 71:12 85:10 85:24 <b>interact</b> 73:11 81:18 113:22 <b>interaction</b> 20:2,10 82:3,5 <b>interactions</b> 20:3 134:24 <b>interactive</b> 115:10 <b>interest</b> 86:7 89:9 <b>interested</b> 7:10,14 8:10 11:3	37:19 49:2 85:12 88:8 108:8 108:13 109:2 <b>interesting</b> 12:12 26:21 124:22 126:11 <b>interests</b> 132:19 <b>interfaces</b> 75:2 <b>internal</b> 101:14 136:19 <b>international</b> 91:2,11 <b>internationally</b> 96:7 <b>interpret</b> 116:6 <b>intersect</b> 61:20,20 <b>intersection</b> 83:11 <b>Intervention</b> 71:13 <b>interventions</b> 68:2 <b>intranasal</b> 99:5 102:9 103:9 <b>introduce</b> 60:3,19 <b>invest</b> 53:20,22 <b>investigation</b> 136:19 <b>invitation</b> 77:24 115:25 <b>inviting</b> 59:22 <b>involuntary</b> 76:5 <b>involved</b> 34:11 63:16 72:5,7 87:25 89:13 91:6 108:11 113:20 134:19 <b>involvement</b> 35:8 84:12 <b>iPad</b> 137:19 <b>Irene</b> 129:10 130:6 <b>irritating</b> 102:14 <b>Island</b> 82:17 121:6 <b>isolated</b> 43:10 <b>isolates</b> 46:2 <b>issue</b> 43:9 57:5 78:14 89:20 98:11,18 108:21 110:5,19 139:8,18 <b>issued</b> 18:18 <b>issues</b> 40:15 52:11 56:21 92:9 92:10,13 96:22,25 110:6 134:22 138:14,15 139:21,22,24 <b>It'd</b> 109:6 <b>it'll</b> 14:14,14 17:10,11 20:17 62:2 117:7 120:22 <b>it's</b> 10:24 30:25 <b>item</b> 3:16 58:21 <b>items</b> 36:7,12,16 140:3
	<b>J</b>
	<b>J</b> 2:21 <b>jack</b> 57:17 <b>Jacob</b> 22:23 <b>Jagt</b> 2:9 4:3,4,22 5:3 14:7 22:4

24:14 28:20 29:5,10,15 30:2 30:16 50:20 51:11 52:7 56:19 57:6 78:11 79:12 81:5 86:20 86:24 87:4,11,15 94:16,19,20 94:22 96:20 97:16 99:18 100:24 102:24 103:6,16,19 107:5 108:5,7 109:6,13,17 141:24	<b>kicking</b> 18:6 <b>kid</b> 67:2 100:5 <b>kids</b> 7:19 57:2 61:8 65:24 71:11 71:11 72:13 74:4 77:8 89:10 99:21 100:3 102:24 104:16,17 105:7,21,22 106:23 <b>kids'</b> 60:15 <b>Kim</b> 2:13 136:12 138:10,11,12 139:23 140:2 <b>kind</b> 7:10,17 11:22 14:23 15:22 16:24 17:5 18:3 19:16 28:8 29:7 33:3 40:9 43:10,18 48:2 51:4,6,6,21 55:7 56:17 58:9 61:25 62:15,17 63:2,5 64:25 67:3,19 69:4 73:10,24 76:2 77:9 78:13 88:17 90:13,20 91:16 92:17 100:6,10,11 102:20 103:25 106:16 107:22 110:2 111:17 116:4 119:20 126:10 128:7 129:23 130:13 136:4 <b>kinds</b> 51:19 92:10 94:9 100:3 133:18 <b>kit</b> 8:18 36:2,17 <b>kits</b> 35:25 <b>know</b> 5:25 6:2 8:13 9:2,18,20 10:14,23,24,25 11:4,4,5,7,13 11:14 12:6,6,9,10,14,16,17,19 12:21,25 14:3 15:11,11,19 16:3,10 17:6 18:2 19:16 22:9 24:18,23,24 25:2,12,19,21 26:3,20,23,23 27:6,7,8,11,15 27:17,19,20,22 28:2,8,8,11,25 29:2,6,8,16,19,23 30:13 34:3 35:9,11 36:5,11,21 37:14,23 38:12,13,20,22,23 39:14,17 40:9,17,20 41:9,12,13,15,16 41:21 42:9,10,10,12,13 43:18 43:22 44:2,7,22 45:5,16,19 47:2,8,9,12 48:22 49:3,4,9,10 49:11,19,21,22 50:6,9,10,11 50:12 51:6,7,14,17,19,21,23 51:24 52:2,17,18 53:6,7,9,10 53:14,14,15,16,19 54:2,8,9,16 54:17,20,21,22,22 55:2,3,5,7 55:9,11,12,13,17,18,19,21,23 55:25 56:4,7,7,12,13,21,22 57:3,20 58:2,5 62:19 63:12,15 64:13,18 65:16 68:8,12,12 69:16 73:7,16,16,16,20,21
<b>January</b> 24:6 111:6 139:12 <b>Jason</b> 2:14 5:8,9 <b>Jeff</b> 29:2,3 <b>Jen</b> 86:11 <b>Jennifer</b> 2:15 60:6 78:3 86:9,11 114:6 <b>Jersey</b> 35:17 <b>job</b> 22:21,22 91:14 <b>jobs</b> 37:20 <b>Joe</b> 6:7,11 <b>Johnny</b> 42:10 <b>join</b> 83:10 116:2 117:21 <b>joint</b> 65:10,12 <b>jointly</b> 65:8 <b>Joseph</b> 2:15 <b>July</b> 123:5 <b>jump</b> 58:25 73:13 118:5 <b>June</b> 117:3 121:5 123:3 <b>justice</b> 71:8 84:12 <b>juvenile</b> 71:8	
<b>K</b>	
<b>Kacica</b> 2:16 15:8,10,10,14 16:2 16:11 122:2,5 123:15 <b>Kaczorowski</b> 29:2,3 <b>Kaiser</b> 129:15 <b>Kansas</b> 9:8 <b>KAPSER</b> 5:11 57:13 <b>Kasper</b> 2:5 5:10 <b>Kat</b> 60:18,21 62:18 <b>Kate</b> 2:12 122:13 123:20,21 <b>Katerina</b> 2:12 59:7 60:18 <b>Kathryn</b> 59:6 60:3 <b>Kathy</b> 9:4 60:13 69:22 72:17 <b>keep</b> 12:8 21:12 22:23,24 37:13 41:18 62:13 65:19 <b>keeping</b> 15:20 34:18 38:21 114:10 122:22 <b>keeps</b> 55:18 <b>Keith</b> 61:9 <b>Kevin</b> 2:13 <b>key</b> 11:23 57:14 116:5	

74:3,5,8,8,9,19 76:5,10,18	<b>leader</b> 91:3
77:4,5,11,13,13,21,23 78:15	<b>leaders</b> 32:20
78:16,19,23,24,25 79:2,8,9,17	<b>leadership</b> 21:19 23:5 24:6
79:22,25 80:4,8,11,12,14,19	54:19
80:20,21 81:3,9,10,14 82:16	<b>leading</b> 69:14 78:4
82:20,21,24 83:13 84:9,11,13	<b>leaning</b> 135:6
85:25 86:20 90:14 91:2 92:3	<b>learn</b> 119:3
93:10,11,12 94:11 95:24 96:2	<b>learned</b> 44:12,24,25 45:2
96:4,5,6,7,11,21,22,24,24,25	<b>learning</b> 83:5 106:7
97:2,5,25 98:5,13 99:6,13,21	<b>leave</b> 24:9 56:2 118:6
100:3,5,5,6,7,9,21,25 101:10	<b>left-hand</b> 67:24
101:13,17,18,19,19,22,25	<b>leftover</b> 36:14
102:3,5,9,20,22 103:2,12	<b>legalese</b> 44:17
105:13,24 106:7,8,10,14,15,17	<b>legislated</b> 131:22
106:25 108:2,16,20,20,21,23	<b>legislation</b> 131:12
109:3,10 111:18,24 112:7,11	<b>legislative</b> 39:22 42:20,21
112:13 113:22 114:11 115:25	44:20
116:9,13 119:16 122:9 123:6	<b>legislators</b> 49:9 134:7
123:19 125:6,7 126:22,23	<b>legislature</b> 134:6
127:6,13,15,16,16,17,18 128:7	<b>let's</b> 31:6 46:5,7 47:9,10 51:17
129:25 130:14,24 131:11 133:3	58:20 59:4 87:15 89:7 136:12
133:25 134:16 135:16 137:5,9	<b>letter</b> 22:11 26:8 28:3,23
138:18,18,21,22 139:4,9,11,19	<b>letterhead</b> 25:20
140:10	<b>letters</b> 27:9
<b>knowing</b> 101:3 112:3	<b>letting</b> 48:20 116:9
<b>knowledge</b> 57:19 124:16	<b>level</b> 32:21,23 54:3,3 69:8 75:5
<b>knows</b> 68:9 103:9	76:12 81:21 132:2,6,10,16
<b>Kris</b> 2:14 118:11,14	<b>levels</b> 32:21 67:18 80:16
	<b>leverage</b> 122:19
<b>L</b>	<b>liaisons</b> 32:22
<b>laceration</b> 92:16	<b>license</b> 44:15,21
<b>lack</b> 55:20,21,21 130:25	<b>licensed</b> 44:17 82:10
<b>ladder</b> 45:3,4	<b>licensing</b> 44:22 52:24
<b>Lake</b> 105:15	<b>licensure</b> 75:11
<b>lamine</b> 15:4	<b>life</b> 33:22 55:7 91:10 100:10
<b>laminated</b> 12:3	106:16 108:20 138:23
<b>land</b> 130:18	<b>lifespan</b> 66:6,8 69:12,25
<b>language</b> 14:25 15:2 43:12,12	<b>light</b> 41:15
134:14	<b>likelihood</b> 125:4
<b>languages</b> 12:8	<b>limit</b> 66:11
<b>large</b> 54:23 55:3 88:14 92:13	<b>limited</b> 70:18 77:3
100:19 126:23 139:24	<b>line</b> 62:20
<b>largely</b> 93:3	<b>lines</b> 64:4 71:15,20 96:18
<b>larger</b> 17:12 20:3 55:23 93:5	108:15 134:19
98:25 104:3,14,14 107:12	<b>linkage</b> 69:2
<b>late</b> 66:9	<b>linkages</b> 62:20
<b>law</b> 83:23 118:21 134:20,24	<b>LinkedIn</b> 37:18
135:7	<b>list</b> 13:7 68:15 69:5 124:17,20
<b>laying</b> 76:7	<b>listed</b> 23:5 24:5 68:5
<b>lead</b> 50:11 61:5	<b>listing</b> 114:8



<b>literally</b> 10:19 48:12	102:17,18,19 103:8 104:21
<b>literature</b> 101:18	105:11,20,23 106:14 110:5
<b>little</b> 8:18 10:18,23 11:15,20	116:18,19 127:14 132:5 136:10
24:16 25:3,22 30:4,10,11 34:3	136:10 137:2,13,14 138:23
36:12,13 39:7 45:17 49:20	140:6,8 141:18,18
50:17 51:12 52:8,19 58:11	<b>lots</b> 39:12 106:8
59:17 64:24 70:10 79:3 87:7	<b>louder</b> 59:18
88:21 94:2 102:7,14,15 103:3	<b>love</b> 33:21 77:20,21 95:20
103:23,24 110:12 111:11	100:15 102:11 113:18 116:4
117:10 122:24 131:5 137:18	<b>low</b> 40:18 59:17
140:10	<b>low-risk</b> 100:14
<b>live</b> 107:22	<b>Lower</b> 124:4
<b>lives</b> 40:22	<b>lower-level</b> 73:22 74:7
<b>load</b> 47:23	<b>lucky</b> 33:9
<b>local</b> 7:2 32:19 40:6,24 46:7,21	<b>lying</b> 101:17
57:5 80:7 137:5	
<b>locally</b> 41:3	<b>M</b>
<b>located</b> 81:9 85:11	<b>M.C.I</b> 125:21,23 126:21
<b>log</b> 49:24,24,24	<b>M.R.I.s</b> 92:14
<b>logo</b> 9:10	<b>ma</b> 98:12
<b>logos</b> 9:2	<b>main</b> 70:4 115:6 138:14 139:22
<b>long</b> 67:10 82:17 99:6 100:21	<b>major</b> 125:17 126:6 130:9
121:6 139:16	<b>majority</b> 72:22
<b>long-term</b> 51:10	<b>makers</b> 33:2
<b>longer</b> 52:16 53:8 67:8 102:16	<b>making</b> 9:4 19:16 22:25 23:2
<b>look</b> 4:23 12:6 41:10,14,17	33:24 38:7 39:11 43:21 53:12
43:19 55:7,7,22 65:16 70:10	79:25 88:8 98:12
70:12,13,14 86:12 97:22 114:2	<b>male</b> 110:17,18
124:8,22 127:3 128:22 129:2	<b>man</b> 48:19
129:14,14,18	<b>manage</b> 100:2
<b>looked</b> 9:6 89:11 90:6 125:13	<b>management</b> 24:25 68:21 71:12
128:14	74:22 75:7 79:2 90:19 92:10
<b>looking</b> 10:19 13:4 17:4 40:14	92:25
41:11 53:16 54:6 55:6 56:17	<b>manager</b> 34:7
65:6 91:17 101:23 103:2	<b>managers</b> 20:8 22:22 23:10
107:17 108:8 112:4 117:2	<b>managing</b> 67:3,4
124:11 125:19 126:3,19 127:20	<b>March</b> 13:23
128:19 129:24 130:11,14,15	<b>Marilyn</b> 2:16 15:10 16:8 122:2
137:12,16 138:5 140:11 141:19	<b>Mark</b> 111:10
<b>looks</b> 4:22 12:4 25:10 38:5	<b>marker</b> 14:13,17
49:14 59:15 95:13	<b>mass</b> 47:15 54:22
<b>loop</b> 122:23	<b>massive</b> 97:18
<b>lost</b> 81:14,15	<b>master</b> 57:17
<b>lot</b> 10:21 13:10,11 18:10 19:24	<b>match</b> 9:4
20:7,10,10,11 23:19 24:2,5	<b>material</b> 16:5
29:8 35:22 36:23,23 37:12	<b>materials</b> 74:10
38:23 44:19,24,25 45:2 46:15	<b>matter</b> 39:18 139:2
57:2,15,19 70:14 73:4,25 74:2	<b>mayoral</b> 123:7
76:11 82:2 88:12 89:22 91:24	<b>McCarthy</b> 61:9
92:3,5 98:7,15,23 101:7	<b>McEvoy</b> 2:16 130:23 131:4 136:11

<p><b>mean</b> 20:12 25:11,11 36:20 37:2 48:14 50:15 52:14 63:4 76:11 78:25 102:8 104:18 107:7 115:19</p> <p><b>meaning</b> 78:20</p> <p><b>means</b> 44:19</p> <p><b>meant</b> 3:21 7:3 9:17,17 66:23</p> <p><b>measure</b> 54:25</p> <p><b>measures</b> 16:20 33:7 34:15,17,17</p> <p><b>Med</b> 105:24 106:4</p> <p><b>media</b> 37:18,23 118:18</p> <p><b>medic</b> 80:20</p> <p><b>medical</b> 19:22 23:22,24 40:16 60:6 63:15,23 74:3 75:4 76:22 77:4,16 78:20 81:16,22 88:16 89:21 93:5</p> <p><b>medically</b> 66:15 80:20</p> <p><b>medication</b> 19:20 68:21,22,22 76:2</p> <p><b>medications</b> 77:2 89:22 98:5 99:3 106:12</p> <p><b>medicine</b> 91:8 95:19 97:10 101:6 101:12,14,14 102:11 103:25 107:22</p> <p><b>meet</b> 40:9 117:3,5 130:24 131:2</p> <p><b>meeting</b> 3:2,25 6:3 7:6 15:21,22 15:24 17:15 35:5,15 48:15 83:10 84:19 87:20 110:11 117:7,9,10 120:16 121:11,15 121:19 131:2,10 132:16 135:15 137:7 138:14 139:22 140:11 141:2,5,17,23 142:13</p> <p><b>meetings</b> 7:17 33:13 131:6 132:20 133:2 141:7</p> <p><b>member</b> 65:25 66:2</p> <p><b>members</b> 27:7 32:9 35:9 76:19 82:13 116:10 119:4 133:3 142:3</p> <p><b>membership</b> 91:7</p> <p><b>men</b> 120:3</p> <p><b>mental</b> 27:6,21 59:8 60:14,23 64:22 65:9 66:10 69:13 71:9 77:7 84:11 92:8 110:18 134:22 134:25</p> <p><b>mentally</b> 58:10</p> <p><b>mention</b> 30:15 36:10 66:16 109:22 112:24,25 130:4,5</p> <p><b>mentioned</b> 20:19 28:12 34:14 62:18 70:3 84:5 115:23 123:12 128:3 137:10,24 139:23,25</p>	<p><b>mentioning</b> 79:7</p> <p><b>message</b> 15:2</p> <p><b>messed</b> 104:10</p> <p><b>met</b> 72:6 136:15,16</p> <p><b>metrics</b> 91:14,16</p> <p><b>Metropolitan</b> 3:7 49:23 119:13</p> <p><b>Michael</b> 133:24</p> <p><b>mid-level</b> 41:8,12</p> <p><b>middle</b> 122:25 125:5 126:12</p> <p><b>Mike</b> 2:16 130:23 136:8</p> <p><b>Milan</b> 124:3</p> <p><b>mild</b> 68:23</p> <p><b>mind</b> 3:8 22:8 51:17 65:19</p> <p><b>mine</b> 140:19</p> <p><b>minimal</b> 93:18 133:5</p> <p><b>minimum</b> 53:10</p> <p><b>Minnesota</b> 9:7</p> <p><b>minor</b> 100:11 106:15</p> <p><b>minority</b> 51:22</p> <p><b>minors</b> 66:9</p> <p><b>minute</b> 40:11</p> <p><b>minutes</b> 3:11,16 6:3,18,19 20:18 48:6 123:14 141:15</p> <p><b>mirror</b> 124:4</p> <p><b>mission</b> 31:17,18 90:25</p> <p><b>mistaken</b> 30:23</p> <p><b>mitigating</b> 127:20</p> <p><b>mobile</b> 60:24 62:20,21 63:9 64:14</p> <p><b>model</b> 61:15 65:7 66:20 69:10,18</p> <p><b>moment</b> 9:14 38:13 59:16 74:2 118:12 139:17</p> <p><b>Monday</b> 132:22 141:4,5</p> <p><b>money</b> 36:13,23</p> <p><b>month</b> 105:25,25 121:11,16 134:2</p> <p><b>months</b> 8:14,14 73:17 101:8 105:22 109:25 131:16 140:8 141:19</p> <p><b>morning</b> 132:22</p> <p><b>mortality</b> 115:15,18</p> <p><b>motion</b> 6:4,9 131:25 141:22</p> <p><b>motorcycle</b> 119:22,24</p> <p><b>Mount</b> 133:25</p> <p><b>move</b> 45:4 58:21 64:17 70:15 111:16 127:15 130:19 136:12</p> <p><b>moved</b> 131:25</p> <p><b>moving</b> 47:3 56:3 62:8</p> <p><b>multidisciplinary</b> 91:3</p> <p><b>multiple</b> 12:7</p> <p><b>Muru</b> 137:25</p>
---	--

**museum** 127:8**mute** 4:5 140:15**muted** 4:19,20**mutually** 77:9

---

**N**

---

**N.G** 92:18**name** 3:13,24 8:2 18:11,11 20:6  
20:20 143:10**name's** 60:21**names** 26:12,12**Narcan** 35:18**Narcans** 35:19**nasal** 106:12**NASEMSO** 17:12**Nassau** 125:18**national** 32:20,23 34:23 62:19  
88:2 108:12 122:20**nationally** 64:7 96:6**nationwide** 138:20**nature** 12:10 37:24 67:11 72:12**navigating** 69:13**near** 100:18**necessarily** 27:3 66:23 100:4**necessary** 78:20 93:24 111:24  
133:10**need** 6:6 16:8 21:2 24:18 26:13  
27:25 38:14 40:2,9,25 46:6  
49:8,8,24 58:11 66:12 69:7  
71:11 75:5 78:22 80:22 82:21  
83:16,20,21 89:24 94:2 99:22  
103:11 115:20 120:21 123:16  
127:4 133:22 142:11**needed** 41:20 46:14 77:17**needing** 81:20,22**needle** 90:11 92:9**needs** 12:12 17:3 34:23 41:21  
48:3 70:15 71:22 78:23 100:5  
122:10**Neil** 90:21**network** 31:10 32:5,23 33:5,14**never** 10:22 70:19 138:25**new** 1:2 8:25 9:2 14:22 22:24  
23:2 26:18 28:24 29:17 34:20  
35:17 39:12 59:24,25 62:19,19  
62:22 63:13 64:19 88:19 90:4  
90:5 94:5 96:23 99:21 104:15  
105:19 108:14 110:18 111:13  
114:13 115:10,12 118:7,21  
123:4 124:5 130:7,10 132:3133:23 134:5 135:17 136:2  
137:6 138:20 139:9,11 141:13  
143:2**newborn** 90:17**newest** 137:25**news** 82:8**nice** 11:19 34:2**Nickol** 4:18,18,20 5:19 30:25  
31:2,4,6 37:4**Nicole** 2:17**night** 105:5 106:2,5 132:23**nine** 34:16 62:18 63:8 64:5,7**ninety-day** 133:14**non-** 91:25**non-emergency** 42:5**non-pharmacologic** 99:10**noon** 117:7 141:6 142:6**nor'easter** 125:9**normal** 110:23,23 117:8**north** 124:5**Northeast** 16:23**northern** 104:15**note** 66:4 67:6 68:6,15,24 69:8  
72:17 89:18 117:13**notice** 94:25**notices** 23:16,17**number** 20:21 37:15,15 39:2  
75:24 88:14,23 94:5 99:14  
100:19 101:19 104:25 125:16  
126:25 129:8,18 132:9 133:22**numbers** 99:3 132:13**nurse** 55:11**nursery** 104:19 126:7**nurses** 35:18 54:4**nursing** 43:16 44:25 91:9**nutshell** 136:5,9

---

**O**

---

**O'Toole** 4:18 5:18,21,23 31:3,4  
31:7,13,15 35:13 36:19 37:6**O.B** 123:12**O.B.G.Y.N** 104:19**O.H.A.P** 124:11**O.M.H** 60:6,9 61:4 62:14,23**O'Toole** 2:17**OASAS** 60:10 62:14,24**objection** 6:17**obligated** 11:9**observation** 68:3**obstetrical** 15:12

<b>obviously</b> 12:7,18 28:15 52:23 56:20 76:10 91:18 92:12 93:14 95:19 97:14 131:7	<b>opening</b> 68:17
<b>Occupational</b> 118:15	<b>operate</b> 32:8 75:22
<b>occur</b> 22:9 82:6 128:6 134:23	<b>operating</b> 75:11 82:10 83:7 85:22
<b>occurred</b> 136:23	<b>operation</b> 47:21
<b>occurs</b> 135:8	<b>operational</b> 47:19 72:24
<b>offer</b> 61:18 63:21 67:9,16,24 68:20,24 69:2 76:2	<b>operationally</b> 79:9
<b>offerings</b> 32:13	<b>opinion</b> 107:19
<b>office</b> 3:6 59:7 60:10,14,23 65:9,9	<b>opportunities</b> 55:13 56:16 92:24
<b>officer</b> 45:8,9	<b>opportunity</b> 10:25 19:13 44:6 45:14 55:20,22 57:22 81:6 93:9 102:5 132:11
<b>offices</b> 105:4	<b>opposed</b> 101:9
<b>offset</b> 63:22	<b>optimal</b> 93:20
<b>oftentimes</b> 63:15 99:23	<b>optimize</b> 88:25 91:21
<b>oh</b> 3:20 4:6,16 5:3,19 15:18 16:11,16 57:6 58:10 63:25 82:19 104:8 113:17 114:20	<b>option</b> 76:7 79:19 84:7 102:12
<b>okay</b> 3:22 4:6,20 5:3,4,14,24 6:19 9:22 10:8 11:2 13:24 16:6 24:21 26:15,15 30:2,17 30:18 31:7,13,15 32:2 37:6 38:3 41:3 42:11 48:19 49:25 50:2,4,13,14,15,18,19 56:24 58:14,15,16,19,20 59:3,4,18 64:17 74:17 84:25 86:7,11,15 87:8,11,17,17 88:24 103:19 109:16,19,19 114:9 117:12 118:9 122:2,7,11 123:21,23,25 130:20,23 140:4 141:11,25 142:6	<b>options</b> 63:21 76:3
<b>Olanzapine</b> 78:24	<b>order</b> 26:14 40:9 46:11 47:8 83:16
<b>old</b> 55:5 59:2	<b>ordered</b> 35:24
<b>once</b> 14:2 51:8 112:9,13,14 131:8 133:7 134:15	<b>organic</b> 126:7
<b>oncologic</b> 92:3	<b>organization</b> 88:2 119:13
<b>one's</b> 129:24	<b>organizational</b> 109:8
<b>one-hour</b> 113:11	<b>organizations</b> 98:13,24 108:12
<b>one-pager</b> 61:24	<b>organize</b> 109:3
<b>one-year-old</b> 47:11	<b>oriented</b> 69:16
<b>ones</b> 35:16 40:15 66:3 74:7 90:12 96:8	<b>Osinaga</b> 114:15,25
<b>onetime</b> 36:23	<b>outages</b> 125:14
<b>ongoing</b> 8:12 18:2 68:3 118:7	<b>outcomes</b> 33:9,20 99:7
<b>online</b> 9:14,16 15:5,6 19:6 132:12 133:20	<b>outliers</b> 136:21
<b>onset</b> 110:18	<b>outpatient</b> 67:7 71:7 75:23
<b>Oops</b> 90:24	<b>outreach</b> 24:12 42:6 107:23 118:20 120:16
<b>open</b> 66:6 71:3 86:6	<b>overall</b> 63:4 69:20 102:23 125:22
	<b>overarching</b> 17:12 100:2
	<b>overlaps</b> 117:9
	<b>oversight</b> 19:23 69:20
	<b>overview</b> 59:9,23 62:2,13 72:20 114:2
	<b>overwhelmed</b> 52:9 105:5
	<b>P</b>
	<b>P.A.s</b> 54:4
	<b>P.A.T</b> 15:4
	<b>P.C.D</b> 100:18
	<b>P.C.R</b> 54:24
	<b>P.D.F</b> 15:5
	<b>p.m</b> 1:8,8 3:2 141:6 142:13
	<b>P.O</b> 102:12 103:10

**P.S.A** 120:3  
**packed** 62:12  
**page** 107:16 143:5  
**pages** 143:6  
**paid** 40:7  
**pain** 88:11 89:23 90:11,17,19  
 93:2,13 99:11  
**Pam** 99:19 109:10  
**Pamela** 2:18 4:11 98:21  
**paper** 11:24 15:4  
**paramedic** 40:13,18 41:4,6 42:19  
 45:7,15 46:16,17 54:7 55:8  
 56:10 132:13  
**paramedicine** 42:18  
**paramedics** 42:13,23 45:20 56:5  
 56:8 57:15 61:21 77:2 135:22  
**paranoid** 110:19  
**parent** 32:10  
**parents** 32:14 33:25 73:24  
**part** 13:3 22:16,21,22 25:5 29:3  
 29:23,23 31:9 38:16,23 43:25  
 44:23 45:15 46:3 50:10 55:17  
 56:19 61:8 65:13 73:2,2 75:9  
 77:22 81:12 89:19 90:14 92:13  
 104:15 106:19 109:11,13,24  
 114:3  
**participate** 7:21 33:24 34:9  
 76:20 103:4  
**participation** 25:4 141:16  
**participations** 141:17  
**particular** 86:15 87:22 97:7  
 98:3 111:4 124:14 126:10  
 129:21  
**particularly** 25:21 35:7 40:16  
 47:3 54:2 73:21 74:7 77:11  
 92:16 95:14 97:9 125:2  
**parties** 72:4  
**partners** 31:22 112:11 114:14  
 116:9  
**partnership** 32:2 33:4,7 34:18  
 35:12  
**partnerships** 62:7  
**parts** 39:12 44:3,5  
**pass** 48:8 60:2,2 62:9 72:15  
 127:2  
**passed** 59:10  
**passenger** 120:10  
**Pataky** 2:15 5:5,6 6:7,9 54:20  
 111:13,17,20 112:23  
**path** 55:13  
**pathogen** 47:13  
**pathway** 11:8  
**patient** 19:19,20 31:19 33:9,21  
 34:6 41:9,9 42:3 54:12,18,21  
 78:23  
**patients** 14:20 33:18,23 47:3,4  
 47:23 73:19 78:16 90:4 92:8  
 93:8,16,20 95:7 104:7 110:4  
 113:8 125:23  
**Paul** 135:15  
**pay** 25:22 46:22 53:10  
**paying** 90:10 97:7  
**payment** 134:6,11  
**PECARN** 118:2  
**PECC** 8:17 14:15 17:7,10 36:17  
**PECCs** 23:18  
**Ped** 7:5,11  
**pedestrian** 118:16,17,19,20  
**pedestrians** 119:8  
**Pedi-Mates** 17:17  
**pediatric** 6:22,25 8:11,19 14:20  
 15:11 16:18 17:8,12 18:7,18  
 18:21 19:12 20:22 27:6,21  
 31:24 33:9 35:22 45:11,12  
 47:3 50:22 51:3,18 52:3 53:18  
 58:22 73:18 74:9,23 76:21  
 86:14,22 87:24 88:25 89:4  
 90:4,24 91:4,9,21 93:2,8,18  
 93:22 94:8 95:7,19 97:20  
 100:17 101:7 102:10 107:20,21  
 110:4 115:3,14,16,18 122:20  
 124:13,13,18 125:21,23 126:13  
 126:21 127:17 136:16,23  
 139:25  
**pediatrician** 41:8  
**pediatrician's** 105:4  
**pediatricians** 71:7  
**pediatrics** 25:14 51:15,22  
 100:23 110:9  
**peds** 91:7,7,8 98:14,14 123:12  
 138:14  
**peed** 14:19 126:12  
**peer** 66:18 68:2 69:9,18  
**peers** 69:11  
**people** 7:9,9 15:6 23:5,14 24:9  
 24:9 25:22 27:4 28:6 38:12  
 39:16 45:4 53:14,23,23 54:2,6  
 54:8 55:5 57:23 67:8 76:11  
 83:16 97:17 98:25 101:4,12,20  
 102:19,22 109:10 111:3 112:18

112:20,21 119:9 132:9 133:22  
 134:24 135:23 137:12 138:3  
**percent** 34:21,24,25 51:24 54:11  
 55:24 57:3 64:3 95:7,10 129:3  
 129:4,4,5,5,24  
**percentage** 99:6  
**Perfect** 63:4 72:16  
**performance** 16:20 19:25 33:7  
 34:14,16 61:10 131:13,22  
**period** 13:18 34:15 42:22 123:5  
 133:8,14,18  
**peripheral** 101:21  
**permanent** 47:24,25  
**Permanente** 129:15  
**permitted** 43:2  
**person** 18:14 21:18 24:4 25:12  
 40:22 66:17 81:19,24 111:25  
 112:3 117:6  
**person-** 72:11  
**personally** 26:2 49:6 103:8  
**personnel** 77:17  
**perspective** 31:19 34:6  
**pesticides** 126:6  
**Peter** 2:18,19 59:10 87:10  
 117:25  
**phase** 79:18 128:18,19  
**Philippi** 111:10  
**philosophy** 69:18  
**phlebotomy** 92:8  
**phobias** 92:9  
**phone** 4:19,21 5:2 20:20 49:20  
 49:22 50:9,16 64:4 137:19  
**phones** 15:7 106:18  
**phosphate** 126:7  
**physician** 41:7 106:2  
**physicians** 28:15 105:17 107:22  
**pick** 131:21  
**picked** 75:3  
**pictorial** 120:10  
**picture** 12:4,7 26:18 64:18  
**pictures** 14:11  
**PICU** 105:25  
**piece** 11:15 24:3 79:11 86:14  
 109:8 122:9 135:14  
**piecemeal** 62:16  
**pieces** 64:13  
**pilot** 45:21  
**place** 28:15 70:20 89:24 93:6  
 105:2 134:3,11,16 143:4  
**placement** 90:18 92:19  
**places** 70:5 82:6 104:13,16,23  
 105:9  
**plain** 14:25  
**plan** 7:18 19:4 32:13 34:12 77:9  
 127:4  
**planet** 106:21  
**planning** 51:4,25 68:21 76:20  
 119:13  
**plans** 49:15  
**plastic** 11:25 12:2,3  
**play** 52:20 94:9  
**plays** 49:2  
**please** 3:23 7:15 16:10 29:24,24  
 35:11 38:4 85:15 87:3 97:12  
 103:14 114:7,7 117:13 123:18  
**pleasure** 37:11  
**plumes** 126:4,8  
**pocket** 11:5  
**point** 8:6 12:11 13:3 25:6,25  
 30:10 44:17 73:15,15 77:5  
 81:8,17,25 86:4 93:23 97:25  
 111:25 112:2,21 113:4 125:12  
**pointed** 25:19 77:6  
**points** 113:4  
**police** 32:15 84:7,9,15  
**policies** 19:21 32:12 75:7  
**policy** 33:2  
**polling** 138:5  
**pool** 102:20 126:5  
**poor** 126:14,16 139:5  
**pop** 14:8  
**popper** 36:12,15  
**population** 12:13 51:23 115:16  
 115:18 124:13,18 125:21  
 126:13  
**populations** 30:6 51:15 124:12  
**portion** 53:3,4 54:18 55:3,4  
**portions** 38:16  
**positions** 37:15 51:8  
**positive** 100:13  
**possibility** 129:2  
**possible** 56:9 73:6 133:6  
**possibly** 89:10  
**post** 37:18  
**post-crisis** 64:16  
**posted** 115:12  
**potential** 123:7 128:4  
**potentially** 35:12 64:12 74:11  
 84:9 94:8 111:2 113:19  
**power** 125:13

<p> <b>PowerPoint</b> 39:3 112:25  <b>practice</b> 47:10,11 89:5,6 90:15  91:12 105:10 106:19  <b>practices</b> 74:21,22  <b>practicing</b> 91:19 101:3  <b>practitioners</b> 99:13  <b>pre-</b> 18:19 22:5 33:19  <b>pre-hospital</b> 8:11 14:15 16:12  18:5 24:2 110:8 112:15 124:25  <b>precipitous</b> 132:9  <b>prehospital</b> 17:8  <b>premises</b> 70:4  <b>preparation</b> 51:9  <b>prepare</b> 18:16  <b>Preparedness</b> 122:15  <b>present</b> 3:13 4:15 29:23 58:24  85:14  <b>presentation</b> 31:9 39:3 48:6  85:3 87:6 137:22  <b>presentations</b> 132:21 140:7  <b>presented</b> 79:16 113:19  <b>presenting</b> 61:11  <b>president</b> 28:24 29:11,16  <b>presses</b> 38:6  <b>presuming</b> 6:5 117:14  <b>pretty</b> 12:11 22:15 48:25 104:23  123:13 125:5 131:8  <b>prevention</b> 64:15 118:10,15  122:2  <b>preview</b> 18:17  <b>previous</b> 22:20  <b>previously</b> 122:19 137:23  <b>pride</b> 11:10,11  <b>primarily</b> 39:25 40:5 67:21  100:23  <b>primary</b> 43:24 101:13 126:13  127:19  <b>print</b> 15:6  <b>printed</b> 9:5,11 11:21 15:3  120:21  <b>printing</b> 36:14 120:20  <b>prior</b> 34:14  <b>probability</b> 124:8 128:23 129:9  129:16,22  <b>probably</b> 7:16 8:2 13:13 18:12  27:15 39:4 48:13 49:12 68:10  76:14 86:2 89:13 125:14  131:21 132:15 135:16 138:13  <b>problem</b> 55:4 57:10 117:12  133:21 140:17 </p>	<p> <b>problems</b> 134:22  <b>procedural</b> 86:21 87:21 88:6,25  91:21,25 93:2,24 94:4 96:15  106:15  <b>procedure</b> 96:8 99:23 100:11  <b>procedures</b> 19:22 75:7 88:4,4,9  88:12 89:21 92:4,5 93:11,14  99:14 100:7  <b>proceed</b> 86:14  <b>proceedings</b> 143:7  <b>process</b> 8:25 17:6 120:11 134:15  135:10 136:3,3  <b>produce</b> 120:12  <b>producing</b> 119:24  <b>production</b> 13:13  <b>products</b> 120:21  <b>profession</b> 55:17,19  <b>professional</b> 45:10 91:5  <b>professionals</b> 32:16  <b>professions</b> 44:25  <b>profit</b> 57:25  <b>program</b> 6:22 7:3,8 8:12 11:12  16:18,21,23 17:7,8,12 19:21  20:7 22:22 23:9,17 24:25  28:12 32:7 34:7,20 35:21,24  42:24 43:9 45:12,16,22 52:22  59:24 61:5 62:23 64:19,25  65:8,13,14,20 67:16 69:18  71:15 73:11 86:23 90:5 94:7  113:11 117:10 118:17 119:22  120:24 124:7 127:19 128:19  129:15 135:21 136:25 137:11  137:14  <b>program's</b> 84:13  <b>programs</b> 9:9 16:24 21:20 33:6,8  34:13 43:15,15,23 62:22 71:9  <b>progress</b> 91:24 111:8,12,18  130:19  <b>project</b> 29:7 30:4 43:9,12 89:12  89:17,20 95:9 111:16 118:25  124:3  <b>projections</b> 86:5  <b>projects</b> 32:11 43:6 118:7  141:20,20  <b>promise</b> 106:21  <b>promised</b> 88:18  <b>promote</b> 7:7 32:13  <b>promoting</b> 91:4  <b>proofs</b> 9:6,10  <b>propofol</b> 100:5 </p>
--	--

**proposal** 41:24  
**proposed** 123:8  
**protection** 119:7 135:9  
**protocol** 80:7 81:23  
**protocols** 19:22  
**provide** 24:12 40:8 41:8 53:11  
53:19 54:14 62:2 67:14 68:25  
72:19 85:14 88:3 102:4,21,24  
**provided** 18:15 58:5 77:15  
132:11  
**provider** 23:25 40:20 41:13 47:5  
69:2 83:6  
**providers** 8:17 10:21 19:10,13  
33:19 34:2 38:20 44:13 52:17  
54:10,11,14 56:12 67:4 68:4  
68:11 69:17 71:6,8 72:22  
75:15 80:8 81:12 82:13,24  
88:2 91:18 101:13 110:3  
112:16 135:9 137:20 138:4,7  
**providing** 19:11,12 45:20 54:14  
75:12 135:11  
**psych** 63:24  
**psychiatric** 62:22 63:11 68:20  
84:10  
**Psychiatry** 60:12  
**psychological** 90:22  
**public** 8:25 13:8,16 42:6 48:10  
119:17,25 133:5,11  
**publication** 94:25 99:4 120:5,13  
120:18  
**publicly** 115:12  
**published** 95:2  
**pull** 10:2,3 46:6 121:18  
**pulled** 130:16  
**pulling** 19:15  
**punitively** 19:3  
**purple** 9:3,5 14:14  
**pursue** 37:4  
**purview** 60:25  
**push** 37:23 134:10 139:10  
**put** 14:23 15:3 17:6,7 23:2  
24:11 28:21,22 37:22 40:18  
48:22 51:12 53:13 56:5,8,9  
58:4 74:21 88:23 92:22 127:13  
128:11 134:16,21  
**puts** 46:9 129:16  
**putting** 27:10 87:7 98:11  
**puzzle** 64:14

---

**Q**

---

**Q.R** 21:24  
**quality** 19:25 61:9 87:23 88:8  
91:5,13,15,25 95:9 114:16  
126:15,16 136:19  
**quantity** 93:10  
**quaternary** 98:25  
**question** 14:7 22:5 50:21 52:8  
56:18,24 74:19 78:19 79:6  
88:5 124:14 127:25 128:11  
130:4  
**questions** 13:11 18:9,10,13,25  
20:13,15,19,24,25 21:3,16  
22:2 35:3,6 48:7,16 49:17  
58:20 78:10,14 79:7 86:9,11  
87:21 88:23 92:23 94:10 97:23  
113:17 114:9 117:24 121:25  
123:21 127:22,23 130:23 133:3  
136:7,11 138:11,12 140:2  
**quick** 14:13 31:8 35:4,14 61:12  
86:9  
**quickly** 44:10 105:11 113:17  
121:18  
**quite** 23:19 73:17 84:22 97:13  
105:7 130:8 132:20  
**quorum** 3:12 5:16,25 130:25  
**quotes** 13:17

---

**R**

---

**radius** 105:14  
**rail** 125:24  
**raise** 98:17 118:18,22  
**rapid** 67:9,16  
**rapidly** 107:12  
**rare** 82:18  
**rate** 129:7  
**rates** 115:15  
**rating** 128:12  
**reach** 7:9 85:15  
**reaching** 72:7 81:11 85:12  
127:21  
**read** 28:13 39:9 44:10 99:4  
**readiness** 18:19,20,21 21:8 22:6  
22:16 30:4 35:23 40:7 46:11  
46:16,23 89:12,17,20 97:20  
122:20 136:24  
**ready** 3:3,4,5 6:21 16:17,22  
30:15 46:14,18 90:3 94:6  
112:16 136:25 137:10  
**real** 14:12 46:19 60:4,4 124:2  
**realize** 58:10



**realized** 90:7  
**really** 7:3 8:21 10:25 11:7  
 15:15 22:8,23 23:18 24:3,17  
 26:11 27:13,15 32:14 33:3  
 35:16,21 38:11,19,22 40:25  
 41:19 42:3,6,8 43:9 45:17,24  
 46:17 47:2 48:2 51:13 52:15  
 54:25 62:16 66:17,20 69:6  
 72:21 77:6,6 78:19 80:13  
 82:25 83:14 86:10,10 88:7,16  
 90:3 91:11,20,24 92:12 93:4,7  
 93:17 95:13 100:8 101:16  
 102:2,23 104:2 105:11,19  
 106:9,11,20 107:15,15 118:24  
 123:8 132:7 133:5,20 138:4  
 139:21 140:9 141:19  
**reason** 96:12 114:11  
**reasons** 24:9  
**reassurance** 103:24 105:7  
**reassure** 106:25  
**Rebecca** 61:7  
**receive** 66:12,14 85:22 104:7,16  
**received** 9:12 94:25 133:13  
**receiving** 64:14 83:17 104:4,5  
 104:14 107:3  
**recipient** 70:22,22  
**recipient's** 68:3  
**recipients** 85:23  
**recognition** 6:22 16:18 17:8  
 18:8 94:7  
**recognize** 25:8,25 63:12 95:12  
**recognized** 38:12 96:7  
**recognizing** 80:3  
**recommend** 72:7 91:15  
**recommendations** 17:16 74:22  
 102:21 131:20 135:25 136:2  
**record** 143:7  
**recovery-** 69:15  
**recovery-oriented** 66:19  
**recreate** 11:14  
**recruitment** 38:19 44:13  
**redefining** 41:25 42:7  
**Redlener** 133:24  
**reduce** 90:17 100:13 118:19  
**reduction** 100:6 120:6  
**reductions** 92:14  
**referrals** 64:12  
**reflect** 18:7  
**regardless** 65:17  
**region** 15:20 23:25 107:11 123:7  
 124:4 126:24 127:10 137:5,6  
 138:6  
**regional** 73:9 80:5,15 95:3  
 135:20  
**regions** 79:15 81:4  
**register** 139:15  
**registrar's** 139:13  
**registry** 138:15,19 139:8,11  
**regular** 126:19  
**regularly** 135:15  
**regulations** 65:13 133:4,7,17,21  
 136:2  
**relate** 89:6  
**related** 23:20 37:20 39:24,25  
 44:12,23 54:9,9 90:11 94:4  
 118:19,20  
**relates** 78:13 88:9  
**relating** 95:15  
**relationships** 83:15  
**relatively** 87:18 88:4 100:7,11  
 107:10  
**release** 115:24 116:8,8  
**releasing** 115:23  
**relevant** 27:16  
**REMAC** 28:14,23  
**remain** 132:10  
**remains** 133:15  
**remember** 11:17,25 12:2 28:13  
 29:19 56:25 81:13 131:19  
**reminder** 10:24 23:7,8  
**reminders** 23:9  
**repair** 92:17  
**repetitive** 20:13  
**replicate** 66:23  
**reply** 21:7  
**report** 6:21 21:5,5,7 35:4 36:9  
 54:12,18,21 109:22 115:8,10  
 115:11,13,23,24 116:3 117:2  
 117:14 118:2 122:14 138:7  
**reported** 1:18 143:4  
**reporter** 3:23 143:13  
**reports** 122:23 136:19,20  
**represent** 34:22,24 42:8  
**representation** 34:5 50:22 51:3  
 95:22  
**representative** 33:23 135:2  
**representatives** 32:6 34:9,11  
**requesting** 13:19  
**require** 76:13  
**required** 39:17 53:11,19

**requirement** 39:15  
**Rescue** 42:10  
**research** 18:22 91:5 98:15  
 120:23  
**residences** 62:21 63:10  
**residencies** 105:19,23  
**residency** 101:9 105:17  
**resident** 106:2,6,6  
**residents** 101:6  
**resiliency** 69:15,15  
**resolved** 64:4  
**resources** 15:6,14 33:12 41:19  
 46:3,6,7,9 74:2 80:13 83:9  
 99:16 104:17,18,18 111:15  
**respect** 31:25  
**respond** 51:12 99:19 107:6 135:6  
**responded** 23:11 135:13  
**responder** 43:24  
**response** 40:2 46:16,17 61:13  
 62:20 63:9 74:13 76:17 100:14  
 100:14 134:22  
**responses** 128:8  
**responsibilities** 43:22  
**rest** 48:24 55:6 105:16  
**restraint** 17:13,18  
**restraints** 75:19 76:8  
**result** 116:22 133:13  
**results** 124:22  
**resuscitate** 139:6  
**retention** 38:20 44:13,24 55:4  
**retire** 24:10  
**revamp** 133:20  
**review** 101:25  
**reviewed** 136:22  
**revise** 87:7  
**ride** 55:10 119:23  
**riders** 119:24 120:6  
**riding** 52:16  
**right** 6:20 7:8 12:2 13:12 14:11  
 19:18 21:10,11,18 24:4,7,9  
 26:7 30:2 37:12 38:2,7,9  
 39:12 41:15 48:14 50:3 51:2  
 53:17 54:19 57:10 58:2,22  
 65:3 69:23 73:4 79:18 87:8,17  
 97:16 98:14 104:6,12 105:3  
 106:7,22 109:17,21 115:7  
 116:17 118:25 120:9 122:7  
 128:10,21 140:12 141:25 142:4  
**right-hand** 68:19  
**ring** 12:5

**risk** 80:22 115:14,17,21 119:8  
 124:9,11  
**risks** 118:19  
**road** 119:5,6,7 125:5 126:12  
**robust** 136:23  
**Rochester** 29:3 30:8 119:3  
**role** 94:9  
**roll** 3:10,19 119:10 140:10  
**rolled** 64:7  
**rolling** 114:10  
**room** 67:10,12 70:16 73:4 76:15  
 93:22 137:11  
**rooms** 63:12 70:14 87:22  
**root** 14:20,21  
**Roraback** 1:18 143:3,13  
**Rosettie** 2:6  
**round** 11:22 133:11  
**row** 38:10 129:11  
**Rowe-Jones** 2:10  
**Roy** 42:10  
**rules** 108:20  
**run** 54:22 132:15  
**rural** 8:14 40:14,22 45:20 51:20  
 88:14 93:16 107:22,23,24  
**Ryan** 2:19 10:9,16 26:3,19 30:23  
 30:24 37:8,10 48:18,20 49:14  
 49:17 52:8 56:24 58:16,20  
 59:21 72:25 131:7  
**Ryan's** 31:12 140:15

---

**S**


---

**S** 38:16  
**S.A.E** 17:17 18:4  
**S.P.S** 89:6 108:12  
**safe** 66:24 91:4  
**safely** 113:8 118:24  
**safety** 19:20 91:14,15 114:16  
 118:17 119:5,21,22 120:10,15  
 120:18  
**sake** 123:14  
**Sam** 38:16  
**sample** 115:21  
**sampling** 128:17  
**Sandy** 125:16 129:6 130:5  
**Saranac** 105:15  
**saw** 14:7 40:2,3,3 41:23  
**saying** 45:19 100:8 118:11  
 129:25  
**scale** 54:23 89:23 98:25 128:12  
**scaling** 128:25

<p> <b>scenario</b> 111:12  <b>scenarios</b> 19:14 110:14,16 111:5  112:4,19  <b>scenes</b> 135:9  <b>Scheckter</b> 90:21  <b>schedule</b> 110:23  <b>scheduled</b> 121:2  <b>scheme</b> 47:13  <b>school</b> 35:18,19 110:20 119:15  119:15,17 126:22 127:8  <b>schools</b> 35:18 71:7 126:9 127:7  <b>scope</b> 57:22 90:14  <b>scores</b> 21:8  <b>screen</b> 59:11  <b>screening</b> 67:25  <b>script</b> 112:13  <b>scripting</b> 111:24  <b>scripts</b> 111:5 112:5,9  <b>seat</b> 120:13  <b>sec</b> 38:8  <b>second</b> 6:12,14 25:6 39:23 40:10  73:13 93:23 94:19 119:25  141:24  <b>section</b> 42:17  <b>sections</b> 19:9  <b>sedate</b> 98:7  <b>sedated</b> 101:20  <b>sedation</b> 86:21,25 87:21,24 88:3  89:2,4,21 90:25 91:4,7,18,21  91:25 92:11 93:13,24 94:4  96:9,15 98:24 99:17 100:17  106:10 107:21  <b>sedations</b> 88:6 106:15  <b>see</b> 11:3,21 12:7 13:13 17:21  19:2,3,8 21:7,12,14 23:10  29:7 32:18,22 37:17 42:11  49:2 53:25 55:24 56:4,5 61:14  62:4 64:13 65:17 67:11,24  68:16,19 72:5 85:8,25 87:11  87:15,16 89:7,8 90:16 91:8  92:11,18 94:17 96:12 99:13  100:14,25 103:8 104:25 105:7  109:4,4 122:10 123:10 134:9  134:18 136:20 142:5  <b>seeing</b> 52:20 82:2 85:23 93:19  105:21,22,23 106:23 132:8  138:6  <b>seek</b> 65:24  <b>seeking</b> 64:22 66:3  <b>seen</b> 49:6 65:7 93:8,21 95:8 </p>	<p> 104:11 133:15  <b>sees</b> 51:24  <b>segue</b> 86:19  <b>SEMAC</b> 79:16 111:10 130:24  132:23 141:7,8  <b>SEMSCO</b> 48:12 131:3,11,18,20,21  132:23,25 135:3,4 141:7,8  <b>send</b> 7:15 15:25 19:7 23:4,13,16  23:17 28:12,23 69:5  <b>sending</b> 27:9 68:15 85:7  <b>sense</b> 10:10 63:2 109:5  <b>sensory</b> 12:17 35:25 36:2,7,12  <b>sent</b> 61:24 87:6  <b>separate</b> 4:25 70:8,12,13,16  <b>separately</b> 130:13,16  <b>sepsis</b> 114:16,17 115:3,8 116:20  117:16  <b>September</b> 79:16  <b>series</b> 46:10  <b>servants</b> 32:15  <b>serve</b> 66:5,8 70:2 102:8  <b>service</b> 39:11,16 43:21 52:13  53:20,20,22,23,24,25 57:24  58:3,4 64:21 67:7 69:19,20,25  71:21,23 119:25  <b>services</b> 60:7,10 61:18 62:16  63:15 64:12,23 65:10,21,24,25  66:3,12,14 67:14,16,22,24  68:2,7,12,19,23,25 69:3,5  72:10 75:12 77:16 81:16 83:17  83:19 88:16  <b>serving</b> 71:6 135:3  <b>session</b> 70:21  <b>sessions</b> 76:20  <b>set</b> 11:21 12:5 36:6 44:2,21  47:11 51:6 67:12 121:3 133:4  140:16  <b>sets</b> 102:22  <b>setting</b> 75:13,23 120:25  <b>settings</b> 75:20,20 91:22 110:7,8  <b>seven</b> 19:9 64:21 66:7 70:25  71:3,4  <b>seventh</b> 141:6  <b>seventy</b> 55:9,11,24  <b>seventy-five</b> 54:10 57:3  <b>severe</b> 125:9  <b>share</b> 9:21 15:24 18:15 21:15,15  21:17 27:5 38:3,6 44:8,11  48:9 59:8,11 73:5 84:24 87:5  87:10 115:7 116:14 117:17 </p>
--	---

<p><b>shared</b> 33:24 48:11 69:11 84:17 141:18</p> <p><b>sharing</b> 9:8 33:12 38:7 94:12 98:16 116:12</p> <p><b>Sharon</b> 2:20 4:7 6:13,15 28:22 78:4 86:21 109:20 111:21 114:10,11</p> <p><b>shelf</b> 11:6</p> <p><b>shell</b> 136:9</p> <p><b>shepherd</b> 3:9</p> <p><b>shepherding</b> 13:10</p> <p><b>shifts</b> 100:20</p> <p><b>shooter</b> 127:5 129:21</p> <p><b>shooting</b> 112:10</p> <p><b>short</b> 88:4 115:2 138:24 139:7</p> <p><b>shorter</b> 67:15</p> <p><b>shortfall</b> 55:20</p> <p><b>shout</b> 59:21 61:7</p> <p><b>shoved</b> 48:6</p> <p><b>show</b> 9:13 42:12 54:12,21,24 90:12 116:4,22</p> <p><b>showed</b> 15:15</p> <p><b>showing</b> 54:17 90:9</p> <p><b>shown</b> 64:2</p> <p><b>shut</b> 47:17</p> <p><b>sibling</b> 110:20</p> <p><b>side</b> 41:18 60:16 67:25 68:19 123:17</p> <p><b>sides</b> 104:11 108:17</p> <p><b>sideways</b> 106:8</p> <p><b>signature</b> 26:14,18</p> <p><b>signed</b> 134:7</p> <p><b>significance</b> 33:17</p> <p><b>significant</b> 74:13 132:18</p> <p><b>signs</b> 138:25 139:2,4,5</p> <p><b>similar</b> 16:21 27:2 43:11 75:12 78:13</p> <p><b>similarly</b> 16:22</p> <p><b>simple</b> 36:4 99:24 102:21,21</p> <p><b>simpler</b> 138:23</p> <p><b>simply</b> 122:9</p> <p><b>simulations</b> 19:14,17</p> <p><b>Sinai</b> 133:25</p> <p><b>sister</b> 114:14</p> <p><b>sit</b> 27:4,7,15 33:13 73:3 113:25</p> <p><b>site</b> 16:5 18:19 23:3 68:25 89:16 106:3,5</p> <p><b>sits</b> 111:10</p> <p><b>sitting</b> 73:7 123:17</p> <p><b>situation</b> 12:18 102:18 103:11</p>	<p>126:19</p> <p><b>situations</b> 51:10,19 71:23 72:11</p> <p><b>six</b> 6:23 57:6,10</p> <p><b>sixty</b> 55:9 129:4,4</p> <p><b>sixty-five</b> 66:7</p> <p><b>size</b> 19:19 115:21</p> <p><b>sizes</b> 19:18</p> <p><b>SKDNY</b> 112:25</p> <p><b>skill</b> 19:17 47:4</p> <p><b>skills</b> 19:14 51:16</p> <p><b>slash</b> 96:6</p> <p><b>slide</b> 31:10,17 32:2,13,17,18,20 33:4,16 34:4,13,19 35:2 63:3 63:25 69:21 72:14,15 85:8</p> <p><b>slides</b> 67:20 84:17 85:13 88:21 90:12 92:21 94:11</p> <p><b>slow</b> 122:24</p> <p><b>small</b> 93:3 100:7 103:22 104:2,7 104:16,17,23 128:17 138:11</p> <p><b>smaller</b> 93:7 101:4,11 103:2 107:8 137:4,15</p> <p><b>smiles</b> 42:11</p> <p><b>social</b> 37:18,23</p> <p><b>society</b> 87:24,25 88:7 89:4 90:24 96:8 107:20</p> <p><b>solid</b> 52:2</p> <p><b>solve</b> 57:5</p> <p><b>somebody</b> 65:21 67:13,14 68:13 69:5 70:15 113:18</p> <p><b>someday</b> 36:6</p> <p><b>someone's</b> 11:5 108:8</p> <p><b>somewhat</b> 89:13 127:6,11 135:5</p> <p><b>soon</b> 9:5,12 17:3 67:14 73:6 116:7 121:19</p> <p><b>sorry</b> 4:4 9:24 31:11 57:8 84:21 103:17 108:5 121:12 140:14</p> <p><b>sort</b> 3:8 25:6,6 64:5 75:12 78:13 88:11 91:25 92:22,23 93:21 104:24 108:10,10,11 136:17 138:5</p> <p><b>Sound</b> 117:18</p> <p><b>sounds</b> 18:10 37:3 50:18 106:18 107:14 113:24 136:9</p> <p><b>sources</b> 72:18</p> <p><b>southern</b> 124:5</p> <p><b>space</b> 70:14</p> <p><b>spaces</b> 66:21 67:2,3</p> <p><b>spam</b> 27:12</p> <p><b>spare</b> 141:15</p> <p><b>speak</b> 3:24 30:14 59:25 108:14</p>
--	---

<b>special</b> 12:12 32:11 42:6 51:14 60:18	25:20 26:18 29:17,18 32:6,9 32:21,25 33:4,7,16 34:17,21
<b>specialist</b> 61:5	34:25 35:15 39:12,24 40:4,6
<b>specialists</b> 69:9	44:18 45:23 46:3,10 57:22
<b>specialized</b> 47:7,14,20 53:18	59:25 62:19 63:12,13,24 67:5
<b>specially</b> 51:16	68:17 71:10 72:19 73:20 79:14
<b>specialty</b> 46:24 47:13	80:4,14 83:22 88:19 90:4 94:5
<b>specific</b> 9:2 15:22 17:2 19:12 24:13 27:20 69:3 84:13 96:12	96:23 104:15 108:9,14 112:11
<b>specifically</b> 14:9 25:13 110:3,8 141:16	113:13 114:14 115:12 116:19
<b>specifics</b> 21:14	131:2 132:3,24 134:5,9 136:4
<b>speed</b> 120:2,6	136:12 141:13 143:2
<b>spent</b> 105:25	<b>State's</b> 17:2
<b>spinners</b> 106:17	<b>stated</b> 143:5
<b>spoke</b> 11:13 45:16,16,24	<b>states</b> 17:2 33:5 34:24 132:4
<b>spoken</b> 7:15	<b>Statewide</b> 46:15 49:8 54:11
<b>spot</b> 38:13	62:15 80:4 83:5 99:9 131:23
<b>spreadsheet</b> 62:4 86:4	138:19
<b>spring</b> 120:12	<b>Stathidis</b> 2:10 114:18,23 115:6
<b>stability</b> 53:13	116:24 117:19,23
<b>stabilization</b> 59:9,23 60:22,24 61:4,6,14,17 62:3,24 63:11	<b>statistically</b> 129:10
64:18 65:5,15,22 66:5,14	<b>statutory</b> 38:18 43:12
67:23 68:8,14 69:24 70:2,4,6	<b>stay</b> 41:21 47:19 53:24 54:7
70:11,11 71:2,5,16 72:8 75:3	67:8 78:3 120:19 122:10
75:13,18 77:15 78:17 83:7,13	<b>steal</b> 11:10
83:18 85:9,24	<b>Stegich</b> 2:20 118:10 120:9
<b>stable</b> 66:15 80:20	121:12,20,24
<b>STAC</b> 6:25 7:5,12 136:15 138:8	<b>step</b> 113:16
138:14,21 139:22,24	<b>stepped</b> 122:6 140:9
<b>staff</b> 13:12 46:10 55:24 67:13	<b>steps</b> 111:23
70:23 71:3 72:5 76:5 105:16	<b>stick</b> 86:20
<b>staffed</b> 105:9,15,16	<b>stood</b> 85:19
<b>staffing</b> 52:11,14,15 53:5 56:21 74:6	<b>stop</b> 94:12
<b>stage</b> 86:2	<b>store</b> 126:5,6
<b>stages</b> 51:5 82:4 85:21 86:3	<b>stores</b> 126:4
<b>standard</b> 17:16,20	<b>storm</b> 125:17 130:15
<b>standardize</b> 136:4	<b>storms</b> 125:9 129:7,20 130:6
<b>standardized</b> 74:21 80:18	<b>straightforward</b> 45:18
<b>standards</b> 44:22 79:10 80:4,4 91:15 131:13,23	<b>strategic</b> 34:12
<b>start</b> 7:16 39:4 51:4 53:12,13 65:16 79:16 81:2 89:12 97:7	<b>strategies</b> 90:23
100:12 111:24 112:5 118:16	<b>streamlined</b> 138:16
<b>started</b> 47:22 48:2 51:2 124:3	<b>strike</b> 46:25
<b>starting</b> 39:5 41:14 97:25 119:11 123:5	<b>strikes</b> 95:25
<b>state</b> 1:2 22:7 24:18,24 25:12	<b>striving</b> 91:2
	<b>strong</b> 66:18 135:8
	<b>strongly</b> 22:11 25:2
	<b>students</b> 119:16,19
	<b>studies</b> 64:2 98:23
	<b>stuff</b> 36:24 38:24 48:25 51:7 53:18 98:24 106:18 136:10
	<b>subcommittee</b> 6:25 7:10,11,12

87:23 136:16 141:7  
**subcommittees** 32:9  
**subcontract** 44:3  
**subscribed** 143:10  
**subsequent** 23:13  
**substance** 64:23 66:10 69:13  
**substantive** 133:12  
**success** 134:4  
**successful** 83:14  
**Suffolk** 125:19  
**suggest** 108:24  
**suggested** 77:12 138:21  
**suggesting** 22:12  
**suggestions** 106:24  
**suicidal** 111:2  
**suited** 76:15 82:15 137:2  
**summer** 125:9  
**sunglasses** 36:3  
**sunset** 132:16  
**sunsetting** 132:2  
**Superstorm** 125:16 129:6  
**supplement** 8:15 44:5  
**supplies** 19:18  
**support** 22:18 25:18,18 26:2  
33:11 46:11 47:8,15 49:5,7  
53:17 66:19 68:2 69:16 103:22  
106:22,22,22  
**supported** 137:23,25  
**supportive** 65:18 67:22 68:10,17  
68:18 85:10  
**supportives** 68:24  
**supports** 60:11 65:10 72:10  
**sure** 3:9 11:19 15:16 16:11  
19:16 21:17 22:25 23:2 25:15  
26:10 28:5 29:4 30:13 38:7  
44:11 46:2,13,18 51:18,25  
52:4 60:5,20 72:2,3 77:20  
88:8 93:15 94:20 96:19 99:8  
107:15 109:15,15 112:7 113:16  
115:5 128:2 133:12 139:19  
**surge** 40:8 47:21  
**surgery** 104:20  
**surprising** 104:25  
**surrounding** 130:10  
**survey** 18:5,7,8,8,16 19:2 20:15  
21:9,16,21 22:2,6,16 23:19  
27:3,5 89:20 97:18 124:14  
128:7  
**surveyed** 125:5  
**SurveyMonkey** 128:11

**surveys** 22:20  
**Susan** 2:20 118:10 120:8  
**sweet** 138:24 139:7  
**switching** 139:15  
**symptoms** 66:10  
**synergy** 43:16  
**Syracuse** 85:21  
**system** 20:3 41:15 47:17 53:15  
61:13 62:16 63:3  
**systems** 20:2 37:9

---

**T**


---

**T** 136:20  
**table** 73:2,3 81:17  
**Tableau** 23:10  
**tabletops** 124:23 125:2  
**take** 6:19 10:23 20:18 26:8 48:7  
48:16 50:11 55:14 59:12 77:22  
78:15 84:18 85:6 107:25  
127:22 132:12 136:6 137:20  
142:10  
**takeaways** 116:5  
**taken** 23:2 81:3  
**takes** 102:15 139:16  
**talk** 12:10,16 14:3 26:23 29:6  
30:3,10 31:23 37:7 39:11  
40:10 53:2 59:18 61:19 65:14  
66:25 67:20 72:9 86:25 94:2  
119:19 124:2,19 127:14  
**talked** 6:25 35:15 89:17 136:17  
137:2,8,9,18 138:2  
**talking** 56:20 72:24 74:24 77:5  
77:6 83:12 86:20,22 88:17  
93:4,7 99:8 100:4  
**tap** 111:16  
**tapped** 27:19  
**target** 18:3 34:23,25  
**targeted** 124:14  
**targeting** 119:23  
**task** 34:18 39:24 40:5 45:23  
46:2,12,19,19 50:21,23,25  
52:20 134:20  
**tasks** 141:14  
**teachers** 32:16  
**team** 16:4 46:25 76:19 77:21  
80:12  
**teams** 47:7 64:14  
**teased** 130:13  
**tech** 16:13  
**technicians** 74:3 76:22

**techniques** 75:25 76:25  
**technology** 41:10  
**telemed** 41:7  
**telemedicine** 40:13,20 41:13  
 52:17  
**tell** 62:11 114:21  
**telling** 13:17  
**template** 16:25 17:3 28:3  
**ten** 46:15,15,15 48:6 51:23  
 56:12 100:21 129:19  
**tend** 25:22  
**tends** 102:14  
**terms** 16:9 76:6 78:5 79:14  
 86:13 87:7 101:19  
**tertiary** 98:25  
**test** 17:17,20 58:22 90:18 132:6  
**tested** 17:21,24,25  
**testing** 17:13,16  
**tests** 59:4  
**Texas** 35:19  
**thank** 4:16 5:14,23 6:11,15,16  
 7:22 15:19 16:7,11,15,16  
 35:12,13 36:9 37:10 48:20  
 56:24 59:13,20 62:11 65:3  
 72:16 74:17 76:17 77:18,19,23  
 78:8 81:5 86:16 94:22 95:23  
 95:24 111:20 113:25 114:5,10  
 114:24,24 116:23 117:22,23  
 118:9,13 121:8,22,24 122:13  
 123:20 130:20,22 136:8,14  
 138:10 140:23 141:9,15 142:4  
 142:9  
**thanks** 9:3,3 10:9 14:5,6 50:19  
 56:23 60:25 62:10 69:23 98:19  
 100:16 103:6 107:5 109:17  
 122:16 123:15 142:6  
**that'd** 29:9 37:5  
**that's** 136:10  
**therapeutic** 66:21,24 68:2  
**therapy** 68:23 70:21  
**there's** 49:6  
**They'd** 41:6  
**thing** 3:10 11:14,25 12:3 19:11  
 24:22 26:21 28:24 37:25 38:7  
 38:8,11 45:22 49:12 57:14  
 65:18 66:4,4 78:24 90:20  
 94:23 95:25 125:20 127:5  
 129:21 130:15 131:10 137:17  
**things** 8:13,17 9:2,4 11:11 12:9  
 12:17 16:13 20:4,5,5,19,23  
 27:2,8 30:6 36:12,15 37:16,21  
 37:24 38:14 39:3,19,23 41:14  
 41:14,20,22 43:3,7,17 44:6,12  
 44:14 45:2,9,13 47:13 49:6  
 51:5 52:18,24 53:5 54:23  
 75:20 76:8,11 84:15 90:10  
 92:7,7,10,14 93:6 94:9 97:4  
 106:8,8,16,17 107:11,14 108:2  
 113:13 122:17 124:7,10,20,22  
 126:3 127:15 129:18 131:10  
 133:15 134:15 136:22  
**think** 3:12 7:25 8:21 10:6,20,23  
 11:2 12:14,14 15:14 19:24  
 20:4,5,9 22:7,10,15 23:21  
 24:16,22,23 25:7,19,22,25  
 26:5,10,11,13,19,20 27:8,13  
 27:17,25 28:2,2,7,14,21 29:16  
 29:17,20,22 30:9,23,25 35:11  
 35:20,20 36:19,22 37:25 47:16  
 48:6,25 49:7 50:15,16 51:2,11  
 52:22 53:3,11 57:2,4,14 58:7  
 58:11 62:15 63:4 64:17 72:14  
 72:24,25 73:16,25 74:18,19,23  
 75:5 76:9,18 77:9 78:12,14  
 79:6,15 80:14 81:7,13,14,14  
 81:25 82:23 84:19 86:5,18  
 87:9,15 93:25 96:20 97:4,4,5  
 98:2,10,23 99:9,19 100:20,24  
 101:15,22,24 102:5,6,17,25  
 103:5,13 104:8 105:13 106:10  
 106:13 107:13,16 108:22,22  
 112:20 114:13 116:19,25  
 121:10,15 123:19 129:17  
 132:24 134:18 137:13,23  
 138:13 139:13,20,23 140:5,11  
**thinking** 28:21 57:18 95:17  
 96:21 100:12  
**third** 38:10 137:17  
**thirty** 20:18 43:13  
**thirty-five** 54:13,15 56:11  
**thought** 12:15 13:14 36:14 50:9  
 81:14,15 89:11 96:21 103:7  
**thoughts** 74:16 88:22 95:21  
 96:19  
**thousand** 54:10,13,16 56:11  
**threat** 124:6  
**threats** 127:17  
**three** 63:5 66:7 99:25 104:15  
 105:12,18 108:25 110:17 117:9  
 119:2 120:25 130:8 132:11

<p>136:18 140:6 141:6  <b>throw</b> 95:16  <b>throwback</b> 104:24  <b>throwing</b> 94:10  <b>tie</b> 97:19  <b>Tier</b> 17:17  <b>tiers</b> 21:3 55:13  <b>Tiff</b> 103:15,16,21 108:23 109:9  <b>till</b> 141:6  <b>time</b> 1:8 3:17 13:13 15:23 18:6  40:8 41:11 42:15,22 45:11  56:15 72:21 82:7 84:18 85:6  86:8 90:18 95:7 105:16 107:25  117:18 120:22,22 125:11,17  127:6 128:15 130:19 132:14  139:16 143:4  <b>timely</b> 95:11  <b>times</b> 42:13 46:22 47:3 50:7,8  57:15 67:11,15 98:7  <b>timetable</b> 139:14  <b>timing</b> 39:5  <b>tiny</b> 50:3 106:19,20  <b>tip</b> 118:22  <b>tips</b> 8:21  <b>today</b> 3:6 4:18 27:25 31:24  41:16,25 42:4,8,17,25 43:4  49:23 53:6 60:18 61:11 62:2  81:15 114:25 123:19 130:14  136:13 141:14  <b>today's</b> 50:11  <b>told</b> 129:6  <b>tolerated</b> 102:15  <b>tomorrow</b> 120:16,17 130:2  <b>ton</b> 106:23  <b>tool</b> 10:20 11:6 12:22 14:19  18:25 36:17 78:22 110:3 138:4  <b>toolkit</b> 15:12 16:10 19:5 122:4  122:8 123:13  <b>tools</b> 8:16 33:12 100:9,10 110:5  <b>top</b> 131:19  <b>topics</b> 131:24  <b>total</b> 101:9  <b>totally</b> 22:18 52:6 103:13  <b>touch</b> 61:12 122:10  <b>tough</b> 56:4,4  <b>town</b> 139:9  <b>toys</b> 36:3,24 106:17  <b>traction</b> 108:17  <b>trades</b> 57:17  <b>traffic</b> 118:21,22 119:8 120:15</p>	<p><b>train</b> 81:14,15 133:22,22  <b>trained</b> 64:10 74:8 101:5,12  104:4 105:17,24  <b>training</b> 45:9 47:9 69:20 70:23  76:23 113:2,5,6 127:20 132:24  133:20  <b>trainings</b> 121:2  <b>transcription</b> 143:6  <b>translates</b> 91:9  <b>transport</b> 134:3,12  <b>transportation</b> 119:17  <b>transported</b> 82:22  <b>trauma</b> 37:9 66:21 126:14 136:12  138:18 140:2  <b>trauma-informed</b> 72:12  <b>traumatic</b> 68:23  <b>treat</b> 104:17  <b>treatment</b> 42:2 68:22 70:8,19  71:12,14 134:3,11  <b>tremendous</b> 13:9 53:25  <b>triage</b> 67:25 78:21,22 80:18  <b>trip</b> 77:16  <b>tripping</b> 134:8  <b>trips</b> 126:22  <b>troubled</b> 77:7  <b>Troy</b> 140:12 142:6  <b>truck</b> 55:10 56:10  <b>trucking</b> 125:24  <b>true</b> 128:25 143:7  <b>truly</b> 136:21  <b>try</b> 37:13,17,22 62:12 74:21  75:25,25 82:24 102:4 111:16  <b>trying</b> 24:15 26:13 31:11 44:10  62:14 99:20 106:21 108:15  <b>tube</b> 92:17  <b>tubes</b> 92:19  <b>tunnel</b> 57:16  <b>turn</b> 5:15 24:6 53:7 64:23 72:25  120:7 135:10  <b>turns</b> 82:20  <b>twelve</b> 104:13 117:7,9 141:6  <b>twenty</b> 18:13 129:3,3  <b>twenty-</b> 64:20  <b>Twenty-eight</b> 43:10  <b>twenty-four</b> 66:6 67:8 70:25  71:3,4  <b>twenty-nine</b> 120:4  <b>twenty-one</b> 120:4  <b>twenty-two</b> 55:5  <b>two</b> 7:11 8:10 10:3 18:9,9 32:20</p>
--	---



34:17 42:25 47:17 48:13 58:24 64:25 65:4,5 85:2 95:6 98:2 99:25 104:13 105:12,21 108:11 123:10 128:16,16,19 129:11 131:21 139:24 <b>two-</b> 105:13 <b>two-hour</b> 48:5 105:6 <b>type</b> 18:8 28:8 61:18 125:25 <b>types</b> 65:2,4,6 75:16 80:9,10 82:14 83:2 125:10 <b>typewritten</b> 143:6 <b>typically</b> 18:5 66:13 92:12 100:9	<b>use</b> 8:17 19:16 31:12 36:23 40:19 41:10 47:10,10 61:17 64:23 66:10 69:13 75:24 84:10 95:4 98:3 99:3,5 100:9 103:10 118:23 133:18 138:4 <b>useful</b> 74:11 <b>user</b> 119:5 <b>users</b> 119:6,7 <b>usual</b> 3:23 <b>usually</b> 67:10 88:4 103:9 <b>utilize</b> 75:19 <b>utilizing</b> 76:8
<hr/> <b>U</b> <hr/>	<hr/> <b>V</b> <hr/>
<b>U.S</b> 68:11 <b>ultimately</b> 135:24 <b>unclear</b> 79:3 <b>uncomfortable</b> 92:6 <b>uncontrollable</b> 73:23 <b>undergo</b> 99:23 <b>underlying</b> 97:14 <b>understand</b> 10:18 18:9 33:19 34:3 69:11 75:14 103:13 104:4 104:6 <b>understanding</b> 74:4 118:22 <b>undertaken</b> 139:5 <b>underway</b> 72:23 <b>unfortunately</b> 3:6 127:2 <b>uniformly</b> 139:13 <b>unintelligible</b> 4:23 10:6 26:9 27:23 57:21,21,23 58:4 129:13 <b>union</b> 57:20 <b>unique</b> 47:4 89:9 <b>Unit</b> 60:15,15 <b>United</b> 132:4 <b>units</b> 46:16,17 47:14 <b>unmuted</b> 4:21 <b>unmuting</b> 4:19 <b>unsuccessful</b> 139:6 <b>upcoming</b> 22:2 <b>update</b> 17:7 35:14 37:9 114:22 115:3,7 116:17 122:4,8 131:3 139:18 <b>updated</b> 18:7 138:2 <b>updates</b> 114:14 118:8,16 120:8 <b>updating</b> 15:11 <b>Upstate</b> 28:25 29:20,21,22 99:21 <b>upwards</b> 55:24 <b>urgent</b> 40:13,19 41:4 45:15	<b>V</b> 38:23 <b>valid</b> 132:7 <b>Valley</b> 124:4 126:24 <b>valuable</b> 27:14 <b>values</b> 31:18,25 <b>van</b> 2:9 4:3,4,22 5:3 14:7 22:4 24:14 28:20 29:5,10,15 30:2 30:16 50:20 51:11 52:7 56:19 57:6 78:11 79:12 81:5 86:20 86:24 87:4,11,15 94:16,19,20 94:22 96:20 97:16 99:18 100:24 102:24 103:6,16,19 107:5 108:5,7 109:6,13,17 141:24 <b>variability</b> 79:14 80:5 <b>variable</b> 80:14 <b>variety</b> 24:9 106:16 <b>various</b> 81:12 85:20 86:3 140:7 <b>vascular</b> 91:11 92:4 <b>vehicle</b> 118:20 <b>vendor</b> 139:9,11 <b>VENUE</b> 1:10 <b>verbal</b> 76:13,24 <b>verbiage</b> 20:9 <b>versa</b> 35:11 <b>versed</b> 57:19 99:2,5 101:17 102:9,12 103:9 <b>versus</b> 36:16 44:15 79:2 138:17 <b>vet</b> 112:6 <b>vice</b> 35:11 <b>Victor</b> 38:23 <b>video</b> 103:20 112:10 120:10 <b>videos</b> 90:8,15,16 110:14 111:5 111:25 112:2,12 113:6,10 <b>view</b> 12:11 13:3 44:17 <b>Vince</b> 100:17 109:9

<p><b>Vincent</b> 2:21  <b>violent</b> 135:10  <b>virtual</b> 133:19  <b>vision</b> 16:10 31:18,21 63:4  <b>visit</b> 49:3  <b>visits</b> 126:13,14,14  <b>visual</b> 62:25  <b>vital</b> 138:25 139:2,4,5  <b>voices</b> 33:18  <b>volume</b> 103:18  <b>volun-told</b> 8:6  <b>voluntarily</b> 66:3 76:3  <b>voluntary</b> 64:20 65:19 67:15  71:21 72:11 76:4  <b>volunteer</b> 24:6 57:8 112:3  <b>volunteers</b> 53:15  <b>vulnerable</b> 30:6 119:4,6</p> <hr/> <p style="text-align: center;"><b>W</b></p> <p><b>wage</b> 53:10  <b>wait</b> 67:11,15  <b>waiting</b> 70:7,9,13,17 120:20  <b>walk</b> 66:22,24 68:13 81:21 119:9  <b>walking</b> 81:20 119:16  <b>walks</b> 67:15  <b>walkthrough</b> 116:3  <b>Wallenstein</b> 2:13 7:25 8:4  136:13,14  <b>want</b> 7:6 9:8,25 24:17 25:17,18  31:21 35:7,16 50:10 53:24  54:7 55:16 60:19 61:21 63:3,7  65:24 69:8 72:17 74:16 79:24  80:3 81:16 84:18 85:6,6 86:24  89:24 93:15 94:23 97:18 99:13  103:23,24 106:25 107:25  109:22 112:4,15 123:14 137:12  141:12,15  <b>wanted</b> 10:10 39:6 51:25 66:20  72:19 73:5,13 80:24 83:25  87:19 92:21 95:16 98:17  112:18 124:19  <b>wanting</b> 25:14  <b>wants</b> 17:3 71:25 72:2 141:22  <b>washing</b> 36:22  <b>watch</b> 27:12  <b>watching</b> 27:12  <b>way</b> 16:15 23:21 24:11 25:15  28:16 33:19 44:8 54:25 66:9  69:6 75:23 77:14 86:12 94:7  97:18,19,22 98:12 100:21</p>	<p>102:3 104:6 105:22 106:12  108:9,22 113:21 125:13 131:9  <b>ways</b> 98:15 99:10 100:12 119:20  <b>we'll</b> 5:4 7:16 13:12 14:3 44:10  49:16 59:22 61:11,12,12,19  62:25 66:25 72:17 73:10 78:2  86:12 98:7 112:6 113:6 116:3  116:9,12,25 119:15 120:2  123:2 127:15 132:22 134:18  142:5  <b>we're</b> 3:3 4:19 7:4 13:24 15:11  20:5 27:10 28:21 31:9 33:2  34:5,21 35:2 37:17 38:21,22  39:3 41:3 42:14 44:14 48:25  52:12 53:10 57:23 61:25 67:3  73:25,25 76:21 83:6,7,12 84:3  91:17 93:4,7 96:14 99:8 100:4  103:25 104:24 105:2,21,23  106:11,16,20,20 112:4,8,14  114:13 115:19,22,24 116:21  117:2 118:21,24 119:2,10,11  119:11,18,22,24 123:11 124:3  124:21,22 127:12 130:11 131:8  132:8,21 135:10  <b>we've</b> 19:11 20:13 39:20 44:25  69:16 73:16 75:9 79:15 82:9  82:19 89:17 113:15 115:9  119:12 123:9 126:4 132:11  <b>weather</b> 125:4,8 129:19 130:4,11  <b>WebEx</b> 1:1,10 2:1 3:1 4:1 5:1  6:1 7:1 8:1 9:1 10:1 11:1  12:1 13:1 14:1 15:1 16:1 17:1  18:1 19:1 20:1 21:1 22:1 23:1  24:1 25:1 26:1 27:1 28:1 29:1  30:1 31:1 32:1 33:1 34:1 35:1  36:1 37:1 38:1 39:1 40:1 41:1  42:1 43:1 44:1 45:1 46:1 47:1  48:1 49:1 50:1 51:1 52:1 53:1  54:1 55:1 56:1 57:1 58:1 59:1  60:1 61:1 62:1 63:1 64:1 65:1  66:1 67:1 68:1 69:1 70:1 71:1  72:1 73:1 74:1 75:1 76:1 77:1  78:1 79:1 80:1 81:1 82:1 83:1  84:1 85:1 86:1 87:1 88:1 89:1  90:1 91:1 92:1 93:1 94:1 95:1  96:1 97:1 98:1 99:1 100:1  101:1 102:1 103:1 104:1 105:1  106:1 107:1 108:1 109:1 110:1  111:1 112:1 113:1 114:1 115:1  116:1 117:1 118:1 119:1 120:1</p>
---	--

121:1 122:1 123:1 124:1 125:1	<b>workforce</b> 110:12
126:1 127:1 128:1 129:1 130:1	<b>working</b> 16:22,24 17:23 20:13
131:1 132:1 133:1 134:1 135:1	35:17,19,22 39:21 41:16 55:25
136:1 137:1 138:1 139:1 140:1	63:14 69:17 71:18 75:14 79:24
141:1 142:1 143:1	83:6,23 104:3,8,12 113:8
<b>website</b> 21:25 90:7,17 115:13	115:9 120:13 123:3,11 131:15
120:19	134:14 135:18,23
<b>Wednesday</b> 133:2	<b>works</b> 50:17 127:8
<b>week</b> 7:2 9:6,11 23:7 111:14	<b>world</b> 37:14 44:15,16 54:24
126:16 131:2,6,11,25 132:16	101:2
133:2,8 136:15 137:7 138:3	<b>worms</b> 97:14 98:22
<b>weeks</b> 7:16 23:8 39:10 128:16,17	<b>worst</b> 107:4
<b>weighted</b> 36:2	<b>worth</b> 101:23
<b>welcome</b> 84:23 116:15	<b>worthwhile</b> 76:18
<b>welcomed</b> 106:25	<b>worthy</b> 49:7 108:22
<b>welcoming</b> 66:22 70:5,16	<b>wouldn't</b> 27:3
<b>welfare</b> 71:8	<b>wound</b> 92:15
<b>went</b> 9:10 126:15 136:20	<b>wow</b> 30:21 140:4
<b>weren't</b> 17:15	<b>wraparound</b> 83:19
<b>west</b> 103:25 107:9	<b>Wright</b> 59:6 60:13,14 69:23
<b>wheel</b> 11:15	<b>write</b> 14:16
<b>When's</b> 13:22	<b>written</b> 6:18
<b>WHEREOF</b> 143:9	<b>wrong</b> 12:21 117:3 140:22
<b>wide</b> 137:12	<b>Wyoming</b> 35:24
<b>widely</b> 15:17	
<b>Wierzbowski</b> 2:11	<hr/> <b>X</b> <hr/>
<b>wild</b> 103:25 107:9	
<b>willing</b> 6:4 26:3 109:7	<hr/> <b>Y</b> <hr/>
<b>winter</b> 125:9	<b>yeah</b> 5:4 7:24,25 8:2 10:14 16:2
<b>wipe</b> 14:16 36:16	25:17 26:5 27:5,24 28:19,20
<b>wise</b> 56:14,14	36:19 50:15 51:11 53:2,7
<b>WITNESS</b> 143:9	56:25 57:13 62:10 63:25 73:14
<b>wonder</b> 25:3 79:3 97:19	76:16 77:19 78:7 79:5,12 84:6
<b>wondered</b> 74:5,15	84:23 85:5,17 87:4,16 94:16
<b>wonderful</b> 5:22,24 33:15 107:15	94:18,20 96:20 97:10 98:21
109:6,9 111:19 112:17 114:20	100:16 108:7,7 109:16 114:23
<b>wondering</b> 22:13,13 84:19	116:25 121:20 123:22 129:21
<b>word</b> 7:8,19 44:19 137:3	130:20 131:4
<b>words</b> 14:11 17:14 97:5	<b>year</b> 7:6 18:4,6 20:10 38:10,15
<b>work</b> 10:11 13:9 15:20 16:14	38:22 42:18 44:12 47:16 55:9
17:4,15 23:23,23,24 33:6	55:12 66:7 73:18 86:2 115:15
41:16 42:5,5,6 43:6,14 48:21	122:25 124:8 129:3,7 134:5,10
52:15 65:25 74:20 77:9 79:15	139:12
80:17,24 82:11,11,25 89:4	<b>year's</b> 24:7,8 41:23 131:12
100:18,23 101:4 102:16 104:14	<b>years</b> 16:20 17:23 21:6 55:5,25
104:15 108:15 111:4,11 112:19	56:13 100:21 105:12,18 107:11
112:21 113:20 115:18,22	108:18 109:25 116:21 125:16
116:18,22 119:12 124:24 130:6	129:11,19 130:9 132:11
131:17 134:2 135:14 139:5	<b>Yep</b> 3:14 5:21 10:4 12:24 109:15
140:8 142:9	<b>yesterday</b> 94:24 111:21

